

Long Term Care Covid-19 Commission Mtg.

Meeting with Dr. Nathan Stall
on Thursday, November 12, 2020



77 King Street West, Suite 2020
Toronto, Ontario M5K 1A1

neesonsreporting.com | 416.413.7755

MEETING OF THE LONG-TERM CARE COVID-19 COMMISSION

--- Held via Zoom, with all participants attending
remotely, on the 12th day of November, 2020,
11:00 a.m. to 12:30 p.m.

BEFORE:

The Honourable Frank N. Marrocco, Lead Commissioner
Angela Coke, Commissioner
Dr. Jack Kitts, Commissioner

PRESENTER:

Nathan Stall, MD, FRCPC Geriatrics and Internal
Medicine (Clinical Associate) Sinai Health System
and the University Health Network Hospitals
Women's College Hospital, PhD Candidate, Clinical

1 Epidemiology & Health Care Research Institute of
2 Health Policy, Management and Evaluation Women's
3 College Research Institute
4 Eliot Phillipson Clinician-Scientist Training
5 Program University of Toronto
6

7 PARTICIPANTS:
8

9 Alison Drummond, Assistant Deputy Minister,
10 Long-Term Care Commission Secretariat
11 Dawn Palin Rokosh, Director, Operations, Long-Term
12 Care Commission Secretariat
13 Jessica Franklin, Policy Lead, Long-Term
14 Care Commission Secretariat
15 Sanjay Bahal, Team Lead for Operations, LTCC
16 Derek Lett, Policy Director, Long-Term Care
17 Commission Secretariat
18

19 ALSO PRESENT:
20

21 Janet Belma, Stenographer/Transcriptionist
22
23
24
25

1 -- Upon commencing at 11:00 a.m.

2 COMMISSIONER FRANK MARROCCO (CHAIR):

3 Mr. Stall, you obviously know Dr. Kitts, and do you
4 know Angela Coke, the other Commissioner?

5 NATHAN STALL: I know them by
6 reputation only, just like you.

7 COMMISSIONER ANGELA COKE: That sounds
8 scary.

9 COMMISSIONER FRANK MARROCCO (CHAIR):
10 Better for them than for me, I guess. All right.
11 Doctor, are you waiting for anybody else?

12 NATHAN STALL: No. I'm flying solo,
13 and --

14 COMMISSIONER FRANK MARROCCO (CHAIR):
15 Okay.

16 NATHAN STALL: And --

17 COMMISSIONER FRANK MARROCCO (CHAIR):
18 Okay.

19 NATHAN STALL: Sorry about the -- I'm
20 on a clinical service. I'm in my greens today,
21 so...

22 COMMISSIONER FRANK MARROCCO (CHAIR):
23 That's fine. Thank you. Thank you for being --
24 for being here. So you know, I guess -- I don't
25 know if you know the basic drill, but with your

1 permission, we'll interrupt as we go along. If we
2 have questions, there's a transcript which we will
3 publish on the website.

4 NATHAN STALL: Yeah.

5 COMMISSIONER FRANK MARROCCO (CHAIR):
6 We're ready when you are.

7 NATHAN STALL: Sounds good. So thanks
8 very much for having me. Feel free to interrupt me
9 anytime you need clarification about what I'm going
10 to present.

11 I'm a geriatrician at Sinai Health
12 System, the University Health Network. I practice
13 acute care geriatrics, and I'm also completing a
14 PhD in clinical epidemiology, and throughout the
15 pandemic, have been very involved in research on
16 COVID-19 in long-term care homes. And I sit on the
17 provincial modelling table as well.

18 And as you will see, I was involved in
19 helping to lead the clinical operations for my
20 hospitals partnership with a long-term care home
21 that experienced a severe COVID-19 outbreak, so I'm
22 able to speak on several aspects which -- so I've
23 titled my talk, Lessons Learned from Research and
24 Clinical Care.

25 So I'm going to talk about five things:

1 What risk factors are associated with COVID-19
2 outbreaks in Ontario long-term care homes as well
3 as the extent and lethality of outbreaks. I have
4 reviewed in detail the interim recommendations, but
5 I do have some additional insights to provide on
6 this.

7 What is known about the intensity of
8 care provided to Ontario long-term care residents
9 during the COVID-19 pandemic? Again, we have
10 research on that.

11 What has been the impact of the
12 COVID-19 pandemic on the health and well-being of
13 long-term care residents? I was very pleased to
14 see the interim recommendations, and I reviewed
15 much of the transcripts of testimony given by
16 groups like the Ontario Association of Residents'
17 Councils. But we do have some data on this as
18 well.

19 I'll speak about some of the work I
20 have been involved in in promoting and implementing
21 family presence, and I do think it's important to
22 describe our hospital's multi-phase emergency
23 response because there are some learnings that,
24 sadly, might become more immediately relevant as
25 the second wave intensifies in Ontario and in our

1 long-term care homes.

2 So I know Dr. David Fisman, my
3 colleague, is going to be speaking to you later
4 today, but I think this was really the first study
5 published in JAMA Network Open that turned people's
6 heads and put data to what we were seeing
7 happening, really, at the end of March beginning of
8 April when it really ignited within the long-term
9 care sector.

10 So he looked at all -- he just looked
11 at two -- he looked at two things with his group of
12 colleagues here. He looked at using data from the
13 tracker, and you've spoken to my colleague,
14 Michael Hillmer, about the tools that were created
15 to track data.

16 He looked at what's the -- just the
17 incidence rate ratio, so the risk of death for
18 long-term care residents versus community-dwelling
19 adults, and he looked at how did staff infection
20 correspond with resident death in a lagged manner,
21 so sort of putting data to what we knew was -- was
22 staff were unknowingly importing COVID-19 into
23 homes.

24 So he showed two things, and again,
25 this went to April 10th but really had a huge

1 impact, I would argue, in the Province. So he
2 showed that if you look just -- if you compare --
3 if you compare the deaths among the
4 community-dwelling population and the long-term
5 care-dwelling population, all ages -- there's a
6 90-fold increase risk of death which we've seen
7 bore out now as the pandemic has gone on.

8 But I think what was most important was
9 infection among long-term care staff was associated
10 with death among residents with a six-day lag. And
11 this really spurned things like single-work policy,
12 universal masking, recognizing how staff may have
13 been involved in the importation of virus. So that
14 was an early finding.

15 Now, I'm going to talking about two
16 work -- and I was not involved in that study, but I
17 certainly spoke to Dr. Fisman and his colleagues
18 extensively about it.

19 I'm going to talk about a study that we
20 published in the Canadian Medical Association
21 Journal that is -- looked at this issue that was
22 coming out about for-profit long-term care homes
23 and how they may have fared differently than
24 non-profit and municipal homes.

25 So we worked with the Ministry through

1 my role on the modelling table. We got data for
2 all long-term care homes including data from the
3 Ministry of Long-Term Care Tracker which tracks all
4 the COVID-19 cases and outcomes among long-term
5 care residents and other sources of data the
6 Ministry had. We looked during the really intense
7 period of outbreaks in the first wave, and our
8 exposure that we looked at was the profit status.

9 So, as you'll know, long-term care,
10 they -- residents get under a publicly-funded
11 long-term care program, get nursing care and
12 personal support as well as subsidized
13 accommodation, but they can be operated by
14 for-profit, not-for-profit, or municipal entities.

15 And we looked at three outcomes of
16 interest: Whether the home was going to experience
17 a COVID-19 outbreak; if it did experience a
18 COVID-19 outbreak, the size of it, so the number of
19 residents infected, and then the number of deaths
20 among homes with outbreaks.

21 And we looked at that with the primary
22 exposure being the for-profit status of the homes.
23 The motivation for doing this study was, one, we
24 had known from before the pandemic that for-profit
25 homes have shown generally across a number of broad

1 outcomes to deliver slightly inferior care compared
2 to non-profit homes.

3 I think the bigger motivation was we
4 were seeing this play out as a narrative in the
5 media, and we wanted to look at this with a deeper
6 dive.

7 This is a big chart, but I'm going to
8 focus you on -- so these are the homes by profit
9 status. So these are the things you know in terms
10 of the breakdown of for-profit, non-profit, and
11 municipal.

12 One thing to focus your eye on here is
13 53% of for-profit homes as compared to 18% of
14 non-profit and 11% of municipal homes have older
15 design standards. So these are design standards
16 that meet or fall below those set in the year 1972.
17 And we know that homes that have older design
18 standards typically have smaller square footage per
19 room, smaller thoroughfares, smaller common areas.
20 They likely have older ventilation systems. We
21 couldn't capture that in this. And we also know
22 that they're more prone to having double or
23 quadruple occupancy in their -- in their homes.

24 When you look at chains as well,
25 clearly, the for-profit, they're the ones that have

1 these large national chains, but there are some
2 smaller chains within the non-profit sector.

3 So these were the deaths crudely
4 without doing any of the modelling, the statistical
5 modelling that I will speak about. And, again,
6 this is by for-profit sector. You know, on the
7 right-hand side is something called the P-value
8 here, and these -- the ones in the top rows here
9 are not significant. But where you see -- so the
10 statistical significance that we set for the study,
11 a priori, was less than 0.05.

12 What you'll see is that you do notice,
13 if you just look across the sector, that the ones
14 that are significant -- there was -- there was a
15 higher death rate in for-profit homes, and there
16 was a higher percentage of resident deaths in
17 for-profit homes. And there were -- there were --
18 it didn't meet statistical significance, but there
19 were homes with any resident deaths tended to be,
20 you know, actually not too different between
21 for-profit status.

22 But what's really, I think, important
23 here is this case fatality rate early of somewhere
24 between one in four to one in three residents dying
25 in the home who got COVID-19 which is consistent

1 with international evidence that show that about --
2 a case fatality rate from first waves in many
3 jurisdictions was somewhere between one in four to
4 one in three.

5 COMMISSIONER FRANK MARROCCO (CHAIR):
6 Doctor, if I can, the fact that the for-profit
7 homes are of an older design, is that -- does that
8 control or influence, really, a lot of the other
9 statistics?

10 NATHAN STALL: I'm going to -- I'm
11 going to show you that in the modelling because
12 this is --

13 COMMISSIONER FRANK MARROCCO (CHAIR):
14 Oh, that's fine. I'll wait 'til you get there,
15 then.

16 NATHAN STALL: Yeah. No. This is
17 the -- this is just looking at it crudely without
18 taking into account any of those factors, but I'm
19 going to show you here in this slide, so the first
20 outcome was the odds of a COVID-19 outbreak, so
21 whether or not you're going to have an outbreak.

22 We had three models here, okay? So the
23 Model 1 is the only thing you put into the model is
24 the for-profit status. In the second model, we
25 adjusted for health region characteristics, and

1 you'll see that's the COVID-19 incidence in the
2 community and the population size. That was our
3 primary model of interest.

4 The third model is what your question,
5 Justice Marrocco, got at which was an explanatory
6 model. We looked at things that may be intrinsic
7 to a for-profit home that might explain some of the
8 things that we're seeing.

9 So if you actually just look at the
10 odds of a COVID-19 outbreak by profit status,
11 you'll see in Model Number 2, if these -- these are
12 confidence intervals. If these cross 1.00, they're
13 non-significant.

14 So you'll see that both -- so the
15 reference group here is non-for -- is the
16 non-profit, so we're comparing for-profit to
17 non-profit. You'll see that it actually is not
18 significant, meaning there is no effect of profit
19 status on whether a home is going to experience an
20 outbreak or not which is important.

21 But what's really important here is
22 that the factor that's most explanatory of whether
23 a home is going to experience an outbreak or not is
24 the COVID-19 incidence in the public health unit
25 region surrounding a home, and that's really

1 pertinent now as we see surging transmission in the
2 second wave. People keep asking, why are homes
3 experiencing outbreaks? We know that the strongest
4 risk factor for whether a home is going to
5 experience an outbreak is the -- is the
6 transmission of COVID-19 in the communities'
7 surrounding homes. And I'll get to some of the
8 reasons how we can try and prevent that, but as
9 I'll show you later on and as I'll speak to, these
10 are not impenetrable environments despite, you
11 know, the most world-class IPAC measures. And so
12 suppressing community transmission of COVID-19 is
13 really essential if you're going to prevent
14 outbreaks.

15 These findings, the fact that
16 for-profit status did not impact whether a home is
17 going to go into outbreak or not have been cited by
18 decision-makers, but I will say that they only
19 focused on this outcome, so specifically
20 Minister Fullerton on the -- in the -- actually
21 cited this on the floor of the Government stating
22 that this study specifically showed that for-profit
23 status did not impact how -- home having an
24 outbreak or not which is a true finding, but I
25 would say that what was not discussed in those

1 comments was the latter two findings of this; is
2 that when you do have an outbreak -- so this is the
3 extent, the size of the outbreak -- those
4 for-profit homes had outbreaks that were twice as
5 large as non-profit homes when you took into
6 account things like the incidence of COVID-19 in
7 the health region.

8 And then, again, if we move over to the
9 Model Number 3, you'll see that the things that
10 were explanatory here -- so you'll see that
11 actually, you adjust away the effect of for-profit
12 status in this third model. It is no longer
13 significant.

14 That doesn't mean it's not important,
15 but what it shows is that these findings down here
16 having chain ownership and older design standards,
17 those were the explanatory factors for why we're
18 seeing such large outbreaks in the for-profit
19 homes.

20 Shown a different way, we plotted all
21 the homes -- this is non-profit, for-profit,
22 municipal. Now, you'll see a bunch of orange
23 triangles at the top. So what are the orange
24 triangles? The orange are the homes that are older
25 design standards, and the triangles are the ones

1 that are chain ownership. And you'll see a
2 clustering of the older homes with -- old -- the
3 homes of older design standards, again, those that
4 meet or fall below the year 1972, and chain
5 ownership.

6 But what's important for this is -- and
7 speaking to the motivation of the study, it's not
8 all for-profit homes that did badly. As you'll
9 see, there are many homes that are clustered at the
10 bottom that did reasonably well in terms of
11 containing outbreaks. And similarly, there are
12 some non-profit homes that also had worse outcomes.
13 But it tends to be that there are more homes that
14 have older design standards and chain ownerships
15 that had larger outbreaks, and the majority of
16 those tend to be for-profit homes.

17 And stop me at any time if I'm
18 confusing you. Yeah, go ahead.

19 COMMISSIONER JACK KITTS: Yes, so I
20 think what you said is that the factors associated
21 with large outbreaks --

22 NATHAN STALL: Yes.

23 COMMISSIONER JACK KITTS: So all homes
24 are susceptible to outbreak because it's what --
25 what the prevalence and spread in the community

1 determines --

2 NATHAN STALL: Yes.

3 COMMISSIONER JACK KITTS: -- whether it
4 gets into the home. So that's fine.

5 Once it's in the home, the for-profit
6 status had a much -- twice the size of outbreaks
7 than others, right?

8 NATHAN STALL: Yes, compared to
9 non-profit, yes.

10 COMMISSIONER JACK KITTS: Okay. And
11 you're saying that the likely cause and effect or
12 what seems to be a cause and effect is chain
13 ownership and old design homes?

14 NATHAN STALL: Yes.

15 COMMISSIONER JACK KITTS: Chain
16 ownership, is that because their homes are much
17 bigger, more crowded, or what's the chain ownership
18 got to do with it?

19 NATHAN STALL: So it's a good question.
20 You know, we don't have several sources of data
21 that could further illuminate that reason. We do
22 know, from before the pandemic, research shows that
23 homes with chain ownership tend to have lower
24 levels of staffing.

25 We also wondered, as I'll show you,

1 whether there was more mobility of staffing amongst
2 homes that had chain ownership where they shared
3 workers within a chain which might have contributed
4 as well.

5 And furthermore, we wondered as well
6 whether, when you're dealing with a home that has a
7 large national chain, whether there was sort of
8 policy or practices that might have been
9 implemented centrally which may not have worked as
10 a one-size-fits-all solution and the smaller, sort
11 of, tailored-to-the-home solutions were required.

12 Of course, those are all hypothetical
13 reasons, but this was -- you know, that was the
14 observation we had had.

15 I'll show you with the number of deaths
16 as well actually quite similar findings. So there
17 were, in homes that had outbreaks, the for-profit
18 homes had 78% more deaths than non-profit homes.

19 And, again, if I draw your attention to
20 the bottom right corner, older design standards and
21 chain ownership seem to explain that as well.

22 And similar plot, again, you see a lot
23 of clustering of the orange and the chain homes
24 when you look at homes that had deadlier outbreaks.
25 And this is showing the proportion of residents in

1 a long-term care home who died of COVID-19, so you
2 see the highest was about 45%.

3 But again, it's not -- it's unfair to
4 paint the entire for-profit sector with the same
5 brush. It is a reality, though, that there are
6 more older homes than those with chain ownerships
7 in the for-profit sector and that likely explains
8 some of the reasons that they had worse outcomes
9 when it came to COVID-19 outbreaks.

10 Any questions before I move on to the
11 next major study we did?

12 COMMISSIONER JACK KITTS: I guess -- I
13 guess just to follow up, so would you -- I can't
14 remember when the visitor policy was implemented,
15 but you'd have to think that the transmission into
16 the home must have been by staff?

17 NATHAN STALL: It's a good question.
18 I'm going to show you a study coming up where we
19 actually used anonymized cellphone data to track
20 mobility patterns between homes.

21 The -- we know from outbreak analyses
22 in -- early on in Washington State that staff were
23 definitely importing -- importing COVID-19 into
24 homes unknowingly. The issue of the visitor policy
25 and, you know, the family caregivers, there was

1 actually no evidence -- there's been two rapid --
2 actually, three rapid reviews in the literature
3 that have found no evidence that family caregivers
4 or visitors were or have been importing the virus
5 into homes. But, of course, an absence of evidence
6 is not evidence of absence, and so -- but that has
7 been a point of contention.

8 We do know also early on, which was
9 reported in the media in the outbreak in
10 Bobcaygeon, there was a visitor who actually
11 contracted COVID-19 in the home and expired.
12 Whether they were the -- you know, the original
13 vector into the home, it's unknown at this point,
14 but we definitely -- and as I'll show you later on,
15 we definitely know that staff are very important
16 vectors for COVID-19 into homes.

17 Okay. So the next -- this was actually
18 published this week in another large General
19 Medical journal called JAMA Internal Medicine. We
20 looked at a different -- it's actually the same
21 data cohort and cut with dates, but we looked at a
22 different factor here.

23 So the first study looked at for-profit
24 status. It looked at the older design standards
25 chain ownership.

1 Here, we wanted to know -- and this is
2 actually motivated by clinical work we were doing
3 and really just, I think, fundamental infection
4 prevention and control knowledge that it's probably
5 a bad idea to have a lot of people sharing a room
6 and a bathroom especially when you're in the middle
7 of a pandemic with a highly transmissible
8 infectious agent.

9 So we looked at something very simple.
10 We said, okay. Let's look at outcomes by something
11 we called the crowding index. So if you just look
12 at what is the average number of residents per room
13 and bathroom in your home. So if you had a home
14 where everyone has their own room and has their own
15 bathroom, your crowding index would be 1.

16 If you had a home where everyone's in a
17 four-person room and with a bathroom, crowding
18 index goes to 4.

19 So but, you know, we know that in some
20 homes, they have different formulations of that, so
21 some may have half single rooms and half
22 semiprivate, et cetera, et cetera. So we built a
23 crowding index to be able to assign some sort of --
24 some sort of measure of how crowded a home was
25 before the pandemic.

1 We looked at, really, three things.
2 The cumulative incidence, so over that time period
3 of COVID-19 infection and of mortality, and we did
4 what we call a -- or what we called a prespecified
5 falsification analysis or a negative tracer. We
6 had an outcome which a priori we did not think
7 would be influenced by the crowding index, and that
8 was introducing virus into the home.

9 So much like, you know, we showed that
10 for-profit status didn't impact the virus getting
11 into the home, there's no reason to think that
12 having a more crowded home is going to impact the
13 chances of having a virus come in, but we did think
14 that once it did get in, having a more crowded home
15 was going to lead to more -- to, you know, more
16 widespread transmission and deaths within the home.

17 Sorry. There's an overhead
18 announcement in my hospital.

19 So this is the distribution of the
20 crowding index. So if you actually look across the
21 province, and we know at the onset of the pandemic,
22 as is usual in pre-pandemic times, our long-term
23 care system was at or very near capacity.

24 And so of the resident beds, 36%
25 were -- almost 37% were single; 37.3% were double;

1 and 25.8% were quadruple bedded rooms, okay? And
2 this is the distribution of crowding index. You'll
3 see that there is no home in our province that has
4 a crowing index of 1 of only single rooms and only
5 single washrooms. And we categorize them as a
6 crowding index less than 2 was a low crowded home,
7 and a crowding index of 2 to 4 was a high-crowded
8 home. And you'll see there are some homes in our
9 province was -- actually have a crowding index of
10 4, which may not be a surprise to you.

11 So as of May 20th, we knew that five --
12 fifty-two -- 5,218 residents developed COVID-19
13 infection. We knew that 1,452 died, and that case
14 fatality rate was 27.8%. We showed that in the
15 last study.

16 But what was -- what you may also know
17 is that COVID-19 infection was distributed
18 unequally across the province's home, so 86% of
19 infections occurred in just 63 homes or 10% of
20 homes, which is, you know, quite disproportionate,
21 so these are some of -- some of the outcomes.

22 Just on a descriptive level, okay, so
23 if you actually just look, okay, if you look at
24 low-crowding versus high-crowding homes, okay, in
25 terms of the home, there are more residents

1 infected, and there are more residents who die in
2 the high-crowding -- high-crowded homes. And the
3 high-crowded homes, unsurprisingly, have more
4 quadruple occupancy than the low-crowded homes. So
5 that -- that's, again, without doing any modelling,
6 okay?

7 Now, if you look again, just -- if you
8 just ranked the outbreaks, so compared to homes
9 with low crowding, homes with high crowding, again,
10 had a crowding index of 2 to 4, had a higher
11 COVID-19 incidence of 9.7 versus 4.5%, and homes
12 had a higher mortality rate of 2.7% versus 1.3%
13 meaning that homes that were high crowded, nearly
14 10% of the residents were infected versus
15 low-crowded homes, 5%. And similarly, you know,
16 nearly 3% died in homes with outbreaks versus 1.3%
17 in low-crowded homes.

18 And this is shown in a graph as well in
19 terms of the outbreak size, and the high-crowded
20 homes are in the darker colour, and you'll see the
21 outbreak size is clearly lower -- or clearly
22 higher -- I'm sorry -- in the high-crowded versus
23 the low-crowded homes.

24 Now, we did statistical modelling here,
25 and what I'm showing you here is that compared to

1 homes with low crowding, those with high crowding
2 had a significantly increased risk of COVID-19
3 incidents and mortality.

4 So if you look down here, these are our
5 three outcomes. These are the incidents of
6 infection, so whether you're going to have
7 infection. This is mortality, and then this is the
8 COVID-19 introduction that pre -- that negative
9 tracer or that prespecified falsification analysis.

10 And you'll see that as the home becomes
11 more crowded -- and these are unadjusted and
12 adjusted. So in the adjusted, we take into account
13 factors like we did in the first study and some
14 resident characteristics.

15 As your home becomes more crowded, you
16 monotonically increase your risk of having a higher
17 COVID-19 incidence and similar to mortality. So if
18 you look -- if you compared the highest
19 crowding-index homes, they had twice the level of
20 mortality and twice the level of COVID-19 incidence
21 as low-crowded homes, but importantly, our negative
22 tracer analysis showed that crowding didn't impact
23 whether you were going to introduce COVID-19 into
24 the home at all which was what we had hypothesized
25 early on.

1 Now, what we did was, then we've
2 simulated. And we said, so what would have
3 happened if you had actually converted -- and this
4 just shows -- so in the graph again, you have that
5 relationship that's very clear between cases and
6 deaths as it comes to the crowding index.

7 But we did a simulation. So what had
8 happened at pre-pandemic, we've actually converted
9 all the four-bedded rooms to two-bedded rooms. We
10 would have averted nearly a thousand COVID-19 cases
11 and 263 deaths, so almost 1/5th of cases and 1/5ths
12 of deaths in our province had we decrowded our
13 long-term care homes before the pandemic, but of
14 course, that would require about 5,070 new
15 two-bedded rooms. So that -- that's the crowding
16 study. I'll wonder -- I'll pause there and see if
17 you have questions.

18 COMMISSIONER FRANK MARROCCO (CHAIR):
19 Doctor, can you -- in looking at this, to what
20 extent would you say it's hindsight, it's an
21 analysis based on something that happened versus
22 foreseeable?

23 NATHAN STALL: I think it's totally
24 foreseeable. I mean, the new design standard in
25 1999 has had construction of homes with no more

1 than two residents per room. The homes that were
2 the older design standard that had these multiple
3 occupancy rooms needed to be upgraded for years and
4 were not upgraded over a period of more than 20
5 years. So -- and I think anyone -- the basic
6 infection prevention and control 101 says having
7 multiple residents per room is bad -- and there's
8 evidence for all sorts of any other -- or all sorts
9 of other infectious outbreaks that multiple
10 residents per room leads to worse outcomes. So I
11 don't think it was hindsight.

12 The other thing which I'll speak about
13 is not only is your, what's called, secondary
14 attack rate higher, so you infect more residents
15 quicker and easier when you have crowding and more
16 residents per room, but one of the things we're
17 really seeing now, and it's unfortunately playing
18 out again in the second wave, is that when your
19 home is more crowded, you actually have no space to
20 isolate and cohort residents.

21 And so they were doing things like
22 erecting, you know, simple barriers and sheets
23 between multi-occupancy rooms. And so that led to
24 the directive at the beginning of June that no
25 longer permitted admissions to rooms that had three

1 or four residents. The Government, to their
2 credit, issued that directive.

3 The challenge was, as has come out, was
4 the directive did not pertain to existing
5 residents, so many of the homes retained their
6 crowdedness throughout the summertime. And
7 subsequent to that, we've learned that some of the
8 hardest-hit homes in the second wave have had their
9 three -- have had their multi-occupancy rooms fully
10 occupied.

11 COMMISSIONER FRANK MARROCCO (CHAIR):
12 So then, obviously, you can't build, what is it,
13 5,000 --

14 NATHAN STALL: Yeah.

15 COMMISSIONER FRANK MARROCCO (CHAIR):
16 -- beds in a few weeks.

17 NATHAN STALL: Yes.

18 COMMISSIONER FRANK MARROCCO (CHAIR):
19 But so what would you do, you know, given this
20 reality, and it's foreseeable --

21 NATHAN STALL: Yes.

22 COMMISSIONER FRANK MARROCCO (CHAIR):
23 -- what should you do to deal with it, do you
24 think?

25 NATHAN STALL: Yeah, so one of the

1 things we have been calling for -- yes, you can't
2 just -- it's people's homes. You can't move them
3 out. But one of the things we've been calling for
4 is you need to create temporary space in the system
5 to give homes a chance to be able to isolate and
6 cohort residents.

7 So other jurisdictions have
8 appropriated underused space whether it's hotels,
9 convention centres. This has actually been done in
10 Windsor where they had a field hospital at the
11 onset of the pandemic. And there was a huge need
12 to be -- to urgently decrowd these homes because we
13 know not only is the outbreak going to be much
14 larger in a crowded home, but it's also going to be
15 impossible for them to implement any mitigation
16 strategies when they have no space to actually move
17 residents and isolate and cohort them.

18 So if you look at the -- at some of the
19 worst outbreaks that have happened, you know,
20 Fairview in Toronto during the second wave, most
21 communication that was uncovered by Jessica Smith
22 Cross in the Toronto Star from Queen's Park
23 briefing, they reached out to them and said that
24 all of their multi-occupancy rooms were all -- were
25 fully occupied with three or four residents per

1 room.

2 So that's the suggestion, and it has
3 been done in other jurisdictions, to take your
4 homes that are crowded and particularly, they're
5 the ones, I would argue, that are in the community,
6 situated in communities with the highest incidence
7 of COVID-19 need to think about decrowding those
8 and maybe temporarily moving people to other
9 locations to decrowd these spaces.

10 COMMISSIONER FRANK MARROCCO (CHAIR):
11 So if you think of the Province as 34 health units
12 or whatever the correct number of health units are,
13 then the challenge -- then what should have been
14 happening -- correct me if I'm wrong -- but in your
15 opinion, what should have been happening is over
16 the last several weeks, they should have been
17 searching in the health unit to find ways to
18 move -- to decrowd, to use your phrase -- word --
19 to decrowd these crowded homes?

20 NATHAN STALL: Absolutely.

21 COMMISSIONER FRANK MARROCCO (CHAIR):
22 And that's really all -- that's what you have to do
23 in order to be able to do something.

24 NATHAN STALL: Yes, and what we are
25 actually hearing --

1 COMMISSIONER FRANK MARROCCO (CHAIR):

2 You're forced to, you know --

3 NATHAN STALL: Sorry to interrupt you,
4 Justice.

5 COMMISSIONER FRANK MARROCCO (CHAIR):

6 No. No. No. We're both -- that's what you have
7 to do in order to do something about the problem.

8 NATHAN STALL: Yes. And what we are
9 actually hearing is that because the new admission
10 policy has created a contraction of beds in the
11 system, that limitation to two residents per room,
12 hospitals are now facing increasing pressure to
13 clear their ALC wait times, and we are hearing
14 that -- and I've heard this from the OLTCA -- that
15 hospitals are pressuring homes to admit residents,
16 and regional coordinators, more specifically, are
17 pressuring homes to readmit and -- to homes and
18 fill them up again beyond -- you know, fill them up
19 to dangerous levels of crowding, and there have
20 been -- there have been -- the OLTCA also informed
21 me that there are incidences of homes where they
22 will admit the resident to a two-bedded room and
23 then two weeks later, move them to a three or
24 four-person room because the original writing in
25 June was it was a limit on admissions.

1 They have subsequently, when this came
2 to light in October, changed the wording to also
3 make it apply to existing occupancy. But there is
4 this competing pressures of making space in the
5 hospital system but also not crowding to dangerous
6 levels again.

7 COMMISSIONER FRANK MARROCCO (CHAIR):
8 So -- but that's the same -- the only thing that
9 alleviates that pressure is creating additional
10 space, temporary space somewhere.

11 NATHAN STALL: Absolutely.

12 COMMISSIONER FRANK MARROCCO (CHAIR):
13 All right.

14 NATHAN STALL: Yes. Yes.

15 COMMISSIONER FRANK MARROCCO (CHAIR):
16 Commissioner Coke.

17 COMMISSIONER ANGELA COKE: Yeah, I just
18 was curious. Obviously, we had had this notion of
19 decanting in our recommendations, but I'm just
20 trying to figure out, from your point of view, what
21 are some of the risks of that. And if you've got
22 staffing challenges, you may find space, but how do
23 you get the people to be able to deliver the care?

24 NATHAN STALL: Yeah, it's a huge
25 challenge. And as you know, that 70% have

1 dementia; 90% have cognitive impairment. They have
2 to be spaces that are safe for residents, so it's
3 not a simple as saying just put them in a hotel.
4 You need to set up a hotel or a space like that
5 with the appropriate safety measures.

6 Other jurisdictions have done it. They
7 have set up field hospitals, and again, there's
8 local expertise from St. Clair College in Windsor
9 that did this early on. But also, there are other
10 jurisdictions that in Massachusetts that have
11 reappropriated spaces like hotels.

12 Of course, it would require, you know,
13 the necessary health-human resources in the face of
14 a staffing crisis. It would -- it would
15 necessitate an operations team and command to be
16 able to do this. It has been done, though.

17 And I would argue that leaving homes
18 crowded like this in the face of surging
19 transmission is just leaving them as lame ducks.
20 Like, it's -- we've shown how many deaths could
21 have been averted.

22 And, you know, I saw, as I'll show you
23 early on, when you have this many residents and you
24 have no vacant rooms, it's impossible to be able to
25 properly implement the mitigation measures to put

1 out an outbreak.

2 COMMISSIONER FRANK MARROCCO (CHAIR):
3 Yeah, and so what we've had is several weeks where
4 things were relatively quiet, and the number of
5 cases was not growing in a significant way.

6 NATHAN STALL: Yes.

7 COMMISSIONER FRANK MARROCCO (CHAIR):
8 And that was the period of time in which to do
9 this.

10 NATHAN STALL: Yes, and the commitments
11 that were made by the Government over the summer
12 focused on rebuilding and building new beds in the
13 system, that 30,000 number. But we -- you know, it
14 was always known that those beds weren't going to
15 help the people for the second wave of the
16 pandemic. It was going to help people in years not
17 days or weeks or even months.

18 COMMISSIONER FRANK MARROCCO (CHAIR):
19 Jack, did you -- you're on mute.

20 COMMISSIONER JACK KITTS: Yes.
21 Dr. Stall, I want to come back to your comment
22 about the foreseeable. We've talked about what to
23 do in the middle of it if it wasn't foreseen. But
24 I want to go back to your decade or two, the
25 long-term care status.

1 And as you know, you look very young,
2 so I'm not sure how long you've been in practice,
3 but for some time now there has been a very strong
4 focus on keeping our elders as well and safe at
5 home, and we know that that's not a crowded area.

6 We've also known that the long-term
7 care homes, as you say, that four beds and three
8 beds is not a good thing, and we've known that for
9 a long time.

10 I'm just trying to rationalize how when
11 it's elder care and it's keeping them at home and
12 comfortable and safe and they're moving to what
13 many referred to as their last home. Were they
14 somehow forgotten in the home first and home care
15 initiatives, or how did that work?

16 NATHAN STALL: Yes. So, yeah, I mean,
17 we could, as you know, and I think as has been told
18 earlier in the Commission, we have, you know, quite
19 low funding for home care per capita compared to
20 OECD countries. And other jurisdictions have done,
21 you know, remarkably well to reduce need for their
22 long-term care system by investing in their home
23 and community care systems.

24 The challenge is that the limits on
25 home and community care for the types of people

1 that are being admitted to long-term care homes
2 which we know over the last 15 years have increased
3 in complexity, increased in age, need -- have more
4 dementia diagnoses have -- need more assistance
5 for -- extensive assistance for cognitive and
6 functional abilities.

7 The limits that people can get when I
8 look after patients, when you're talking to
9 families, and they can get, you know, one or two
10 hours a day of care is wholly insufficient for
11 people. And so, really, they don't have a choice
12 between long-term care and community because we
13 don't give them the choice.

14 So, yes, absolutely, had all these
15 residents not been in congregate care settings
16 which are outdated, crowded, with staff who are
17 underpaid, living often in the COVID hotspots of
18 our city and coming and unknowingly importing virus
19 and facing difficult decisions themselves about
20 whether to work or not because an absence of sick
21 pay, I'm confident we could have avoided hundreds
22 if not thousands of deaths.

23 But I do think, though, that the
24 knowledge of the homes that had not been rebuilt,
25 that had not been updated, that were left in -- you

1 know, with design standards that met or fell below
2 the year 1972, that was foreseeable.

3 I mean, if you look back years and
4 years and years, talking about the beds that are
5 slated for redevelopment, this has been known. So
6 that aspect of it was foreseeable.

7 The issue of reinventing our long-term
8 care system and, you know, shifting resources to
9 home care, I think, is a valuable conversation, was
10 probably foreseeable but would have required more
11 creative and imaginative thinking over a longer
12 period of time to be able to implement that.

13 I mean, Denmark is a classic example
14 that's often used about not having to build new
15 long-term care beds because they properly
16 apportioned resources and invested in home and
17 community-care services.

18 COMMISSIONER JACK KITTS: Thank you.

19 COMMISSIONER FRANK MARROCCO (CHAIR):
20 But in the reality that we're in, really, you
21 somehow had to create the additional space. And as
22 Commissioner Coke was saying, you had to somehow
23 find people whether they were retired workers or
24 whatever to --

25 NATHAN STALL: Yeah.

1 COMMISSIONER FRANK MARROCCO (CHAIR):
2 -- help you through this crisis.

3 NATHAN STALL: Yeah, I actually think
4 we need to be doing this now as well.

5 COMMISSIONER FRANK MARROCCO (CHAIR):
6 Well, yeah, I didn't mean to imply.

7 NATHAN STALL: Yes. Yeah.

8 COMMISSIONER FRANK MARROCCO (CHAIR):
9 But it does strike me that what you're saying is
10 that several weeks have gone by --

11 NATHAN STALL: Yes.

12 COMMISSIONER FRANK MARROCCO (CHAIR):
13 -- when this is what should have been happening.

14 NATHAN STALL: Several months, right?
15 I mean, we really cooled off in June in our
16 province, right? We've had June, July, August,
17 September, five months already, right?

18 COMMISSIONER FRANK MARROCCO (CHAIR):
19 Right. Right. Do you know how long it took to
20 erect that field hospital in Windsor?

21 NATHAN STALL: No, I don't, but it
22 might be an interesting thing for the Commission to
23 explore. No, seriously, because I --

24 COMMISSIONER FRANK MARROCCO (CHAIR):
25 Yeah, I know. We will.

1 NATHAN STALL: Yeah.

2 COMMISSIONER FRANK MARROCCO (CHAIR):
3 Commissioner Coke.

4 COMMISSIONER ANGELA COKE: I just
5 wanted to ask the question, you know, following up
6 on what you've described as been some of the
7 history of how we've gotten to where we are, if
8 you -- your thoughts about the -- whether we do
9 have some public policy or funding bias against
10 older people.

11 NATHAN STALL: Yes. So I think in many
12 ways, this has been exemplified during the
13 pandemic. Early on, you know, early on in the
14 pandemic, I would argue we had what Scott Halpern
15 at University of Pennsylvania classified as an
16 identifiable lives bias.

17 So our response to the pandemic was so
18 lopsided because it prioritized the lives that we
19 most identified with, which at the time were young
20 people on ventilators in New York city and
21 hospitals being overrun in Bergamo, Italy.

22 At the same time, if you read the news
23 reports, the Spanish military were coming across
24 long-term care homes totally abandoned with
25 residents in their -- dead in their bed. And this

1 happened in Italy as well.

2 It's a matter of cognitive biases in
3 public health policy that made us prioritize our
4 responses to those lives that we most identify
5 with. And frankly, for clinicians, the lives that
6 most of us treat when it comes to patients, and
7 most people don't work in long-term care.

8 So this is why you saw things like
9 tents being erected outside of hospitals that never
10 ended up got -- being used to some degree. And you
11 found this totally lopsided response when it came
12 to long-term care.

13 I think if you go back into your
14 question, absolutely, long-term care is an easy
15 sector to neglect. The majority of people who live
16 in long-term care with 70% having dementia and 90%
17 having cognitive impairment, are not necessarily
18 able to advocate for themselves. Most of them may
19 not vote, and a lot of them may not be alive for
20 the next election.

21 So they really are the people who are
22 most vulnerable and also have the least, you know,
23 political power in some ways. And I think that's
24 reflected in things you've heard in this commission
25 that, you know, 21 reports over 30 -- you know,

1 things that Doris Grinspun has highlighted and
2 things like the Nurse Wettlaufer inquiry that
3 should have, you know, upended the system for
4 fundamental and transformational change, and, yes,
5 it's early from when that commission undertook its
6 work, but absolutely.

7 And I think, you know, if we think more
8 recently in terms of what's going on in the second
9 wave, you know, the first time, they said they
10 didn't know better which I would argue is not
11 entirely true because, again, we saw concurrently
12 what was going on in Italy in terms of their ICUS,
13 we also saw happening in their long-term care
14 homes.

15 I think we -- in the second wave, we
16 knew better, but again, it was -- it was a --
17 really, a choice or a matter of priorities when it
18 came to the second wave. I think we became a
19 little myopic over the summer and focused, again,
20 on one issue for a long time which was schools and
21 schools re-opening which, of course, is very
22 important, but we lost, I think, a little of the
23 focus on long-term care.

24 And I think now, as I'll speak to later
25 on, there's the challenging issue of trying to

1 balance the economy with -- with protecting older
2 people, and I think, you know, there's this
3 dangerous fallacy about being able to shield the
4 most vulnerable, which there is no empiric evidence
5 from any jurisdiction that you are able to
6 effectively shield your vulnerable population,
7 contain outbreaks in that setting, while allowing
8 transmission to rage in other parts of society and
9 community.

10 So I absolutely think that there is a
11 bias, that identifiable lives bias. I think there
12 is the bias -- you know, the people in long-term
13 care have several intersecting forms of
14 discrimination that plague them.

15 They have the agism, so which was, you
16 know, described as one of the last socially
17 accepted forms of discrimination. There's
18 dementia-related discrimination. We cannot forget
19 that the majority of people who live in long-term
20 care are women, and the majority of people who work
21 there are women.

22 And then, of course, the issues which
23 you have heard much about on the Commission about
24 why we have not acknowledged and properly
25 remunerated the workforce is the majority of people

1 are not only women, but many of them are people of
2 colour as well.

3 So the long-term care sector in many
4 ways is a real, you know, microscope or a
5 laboratory for all the social inequities that --
6 for many social inequities in our society and a
7 real display of how when these things are left and
8 neglected, things can go very wrong.

9 I will show you a bit more of the work.
10 I know -- do we have a hard stop at noon?

11 COMMISSIONER FRANK MARROCCO (CHAIR):
12 Not exactly.

13 NATHAN STALL: Okay.

14 COMMISSIONER FRANK MARROCCO (CHAIR):
15 We've got -- I think we're scheduled again at 1 --

16 NATHAN STALL: Okay.

17 COMMISSIONER FRANK MARROCCO (CHAIR):
18 -- if I'm not mistaken.

19 NATHAN STALL: Okay.

20 COMMISSIONER FRANK MARROCCO (CHAIR):
21 So we've --

22 NATHAN STALL: Yeah, I will -- I
23 will --

24 COMMISSIONER FRANK MARROCCO (CHAIR): I
25 suppose all of us can be -- not you, but the rest

1 of us can be on a diet without any real adverse
2 consequences.

3 NATHAN STALL: Okay. Well, we need to
4 let the commissioners eat. That's quite important.
5 I think that my friend David Fisman's coming on, I
6 believe, sometime this -- he might be at 1 o'clock.
7 Anyways --

8 COMMISSIONER FRANK MARROCCO (CHAIR):
9 Yeah.

10 NATHAN STALL: This is -- you know,
11 this is -- you will know that this is -- this is
12 other work we did working with colleagues at
13 BlueDot who have access to anonymized mobility data
14 from cellphones. So we looked at -- you know,
15 there was this limiting -- I've talked about the
16 motivations for limiting workers to one site.

17 So on April 22nd, an emergency order
18 came in that restricted employees of long-term care
19 homes from working in more than one long-term care
20 home, congregate care setting, or healthcare
21 setting within a 14-day period. Importantly, it
22 didn't apply to temporary agency staff or other
23 contract staff.

24 So we used anonymized mobile device
25 location data, and we looked in the time -- the six

1 weeks before -- the seven weeks before, excuse me,
2 the order came in on April 22nd and the seven weeks
3 following. And we visualized the connectivity and
4 looked at how many homes had connections. And a
5 connection was, so what -- they drew geoboundaries
6 around the homes, and we could know if a home was
7 pinged within one of those geoboundaries or had a
8 check-in, a digital check-in within in two
9 contiguous half-hour periods.

10 So we knew that they were there
11 throughout the duration of an hour, and we then
12 looked -- were there -- were there individuals with
13 that unique mobile device who had that check-in at
14 another home within a 14-day period. And we then
15 looked at the mobility and the connections between
16 homes throughout these two time periods.

17 So this is -- this is the table, and
18 I'll show you the graph. So these are homes with a
19 connection. So before that order came in on April
20 22nd, 42.7% of all homes in the province had a
21 connection which would have lasted at least an hour
22 within a 14-day period.

23 So see, the public policy was actually
24 quite effective. Afterwards, 20 -- 12.7% of homes
25 had a connection, so there was a 70.3% reduction.

1 And similarly, the number of connections, so the
2 homes on average had almost four connections, so
3 connections, there were four homes within that
4 network. Afterwards, there was less than 1, so an
5 80% reduction.

6 You'll see that the connectivity was
7 highest in the for-profit and non-for-profit homes
8 compared to municipal homes. And the residual
9 connectivity afterwards remained highest at 14.7%.

10 I think it's actually important to note
11 that still 12.7% of homes after that order came in
12 had mobility that was documented.

13 And I'll show you -- this is actually a
14 neat network diagram. So this plots all the
15 networks, okay? And the red dots are ones that had
16 an outbreak. The greenish ones, they did not have
17 an outbreak. You'll see there was quite a bit of
18 connectivity. So all these dots are homes, and the
19 lines between them are connectivity. And you'll
20 see there's a marked reduction of connectivity
21 after the -- the order came through.

22 But I think that 12.7% is quite
23 important to focus on because we know there's a
24 loophole within that public policy that permits
25 temporary agency staff to be able to travel between

1 healthcare settings. And we have heard that homes
2 are -- you know, in order to close that, you would
3 have to have only full-time staff.

4 And we have heard that homes are
5 choosing to hire temporary staff and -- rather than
6 have full-time employment, and this is leading to
7 some residual connectivity.

8 Of course, the other reasons why there
9 could be residual connectivity are people like
10 delivery persons who are going between the homes,
11 but that's why we limited it to that -- at least
12 one hour that they had to spend in each of the
13 locations which I think is less likely.

14 And the other group of people who are
15 exempt from that order are physicians as well, so
16 it's possible there are some physician
17 contributors, but because of the fact we have heard
18 about and we know that it's a loophole within this
19 policy, that the fact that still 12.7% of our homes
20 in the province have a connection with another home
21 in a 14-day period where someone spends at least an
22 hour in each of those homes is concerning
23 considering what we know about how staff may be
24 vectors for COVID-19.

25 And you've heard much about, you know,

1 what could be done to promote more full-time
2 employment and retention in the field which would
3 reduce the reliance on temporary agency staff and
4 then lead to mobility between homes.

5 Any questions about that study?

6 COMMISSIONER FRANK MARROCCO (CHAIR):
7 No. Just so I'm clear, what you're suggesting is
8 that if you're trying to prevent outbreaks, you
9 would deal with a temporary staff, the ability of
10 the temporary staff to be in multiple locations?

11 NATHAN STALL: Yes, within a 14-day
12 period, yes.

13 COMMISSIONER FRANK MARROCCO (CHAIR):
14 Okay.

15 COMMISSIONER JACK KITTS: So just to be
16 clear, so because the staff are vectors into the
17 home, you're supporting the one site only for
18 staff?

19 NATHAN STALL: I mean, this clearly
20 shows that there was a strong reduction of 70% in
21 connectivity, and that was an important and
22 successful public policy. It was probably
23 implemented too late. When you look at when it was
24 implemented in other jurisdictions like
25 British Columbia, it was clearly successful, but

1 the fact that there's this residual connectivity
2 and this known loophole in the policy is something
3 that I think is another immediate target for action
4 to try and reduce risks in these homes.

5 COMMISSIONER JACK KITTS: So in the
6 risk-benefit analysis of this, we've heard that the
7 risk was a significantly increased shortage of
8 staff because of this policy, but you feel the
9 risk-benefit ratio is implement this policy and
10 find the staff?

11 NATHAN STALL: You know, these are the
12 competing crises of trying to, you know, address
13 the long-term care sector. Obviously, you need
14 someone to be able to care for the people at the
15 end of the day. This is empiric data showing this.
16 We know that it's a risk factor. We don't have a
17 comparative analysis showing that you're going to
18 contract the amount of available staff by 'X' much
19 and it's going to lead to this much harm.

20 But in terms of, you know, immediately
21 identifiable things that could be done now -- you
22 know, we can't build 30,000 new homes -- you can
23 say, well, it's very hard, Dr. Stall, to actually,
24 you know, train up the staff you would need to do
25 that. That's a fair point, but this is just

1 something that we've identified that's an immediate
2 target. I can't comment specifically on the
3 risk-benefit ratio of that.

4 COMMISSIONER JACK KITTS: Thank you.

5 NATHAN STALL: Okay. The last -- the
6 latter studies are -- I know we're just on Item 2
7 of 5, but I promise you Item 1 was the longest and
8 pithiest.

9 So this was something that actually
10 came out of reading some of the transcripts of the
11 Commission, specifically the Chartwell Commission.
12 So we know that, and as I spoke about, Canada has
13 the highest proportion of COVID-19 deaths in
14 long-term care residents, about 78, 80% depends
15 when you look. And I've talked about the concerns
16 about a skewed pandemic response that focused on
17 acute and critical care.

18 There was no official policy denying
19 hospitalizations for long-term care residents with
20 COVID-19, but media reports and testimony from this
21 own commission suggested that resident transfers to
22 hospital were strongly discouraged especially at
23 the onset of the pandemic.

24 So to investigate this, we looked at
25 whether there were temporal variations in

1 hospitalizations, and we have compared community
2 dwelling adults to those in long-term care during
3 the first and second waves of the pandemic.

4 One of the things that I will say that
5 I think was detrimental as well that may have
6 motivated the lack of transfers was early on, there
7 was a triage document that was leaked that has
8 actually never been officially released. And early
9 on, that document, which was in draft form and was
10 later edited to not include this, suggested that in
11 surge levels, residents should not be transferred
12 to hospital. And that was actually taken out.
13 That would be in the spirit of -- it was actually,
14 should not be transferred to hospital to receive
15 critical care. But I think this made the news.

16 There was also these conversations and
17 letters going out from homes to families strongly
18 discouraging transfers, and this was something I
19 heard -- I read in the Chartwell testimony from
20 your own commission. So we wanted to look at this.

21 So this is all the people who died of
22 COVID-19 in our province from March to October
23 2020. There was 3,114. We went to October 28, so
24 relatively recent data. In the left column, the
25 left notes are the dates and some of the

1 demographics, we had community residents and
2 nursing home residents.

3 Now, you would always expect
4 community-dwelling residents to be hospitalized at
5 a greater rate than long-term care residents. For
6 the most part, they are more well off because they
7 can still live independently in the community. And
8 many people in long-term care have goals of care or
9 advanced directives that may not include transfer
10 to the hospital.

11 But what we noticed, in March -- so if
12 you look here, and I'll show this graphically. The
13 proportion of community-dwelling people who were
14 hospitalized prior to death is relatively constant
15 throughout. Somewhere between, you know, 75.9% to
16 88.8%. But, you know, relatively stable even
17 during March and April when we had the real surge
18 in COVID-19 admissions and use of our acute-care
19 hospital system.

20 Interestingly, among long-term care
21 residents, we saw that in March and April, it was
22 only 15.5% of all -- out of 1,028 people who died
23 that were transferred, and this has gone up.

24 In May, it was 26.9%. In June and
25 July, it was 41.2%. And there's limited numbers,

1 but in August to October, it's 30.8%.

2 When you look, you can say, well, maybe
3 it's an age thing. It's actually not. So when you
4 look by age as well, the rates in the
5 community-dwelling cohort were relatively, again,
6 stable in the high 80s for community dwelling and
7 low in the -- low -- much lower in the nursing home
8 population.

9 And what we did find, which is actually
10 consistent with other literature, was that men were
11 more likely to be transferred to hospital than
12 women. And I've shown this pre-pandemic, and it's
13 been known that men, there's gender-based biases
14 where men are more likely to be offered aggressive
15 care.

16 So this graph -- this shows it that,
17 you know, there is these really large discrepancies
18 and technical variations in the intensity of care
19 that's provided. And so March and April, you know,
20 a really small number of all nursing home residents
21 who died were transferred. That went up once the
22 system was loosened up. Hospital partnerships were
23 made, and it was clear that that first wave was not
24 going to -- not going to overwhelm our hospital
25 system.

1 I think this is important because that
2 very well may have contributed to the large
3 concentration of death we saw in the first wave,
4 and people were not being transferred to hospital
5 who not only may have benefited from medical care
6 that may have saved their life, but also people
7 were not being transferred for just basic care when
8 homes were in crisis, and people aren't being
9 transferred for palliative care to help them die
10 with dignity during the first wave when homes were
11 totally overwhelmed.

12 So I'll talk about the conditions I
13 witnessed in the outbreak that I assisted with, but
14 there was clearly this huge temporal variations in
15 the intensity of care that raises concerns that
16 they were unofficially triaged out at the beginning
17 of the pandemic for a number of reasons and
18 although it was never officially said that they
19 shouldn't come to hospital.

20 Questions about that?

21 COMMISSIONER FRANK MARROCCO (CHAIR):
22 I don't think so.

23 NATHAN STALL: Okay. Okay. What has
24 been the impact of the COVID-19 pandemic on the
25 general health and well-being of Ontario long-term

1 care residents? Again, Commission has done a
2 fantastic job with capturing some of the voices.

3 My role in a lot of this is to capture
4 the data to corroborate the things that we're
5 hearing.

6 So one of the things we were hearing
7 was that there was increased prescribing of things
8 like psychotropic medication, so things like
9 antipsychotics, benzodiazepines, antidepressants,
10 that they were, you know, drugging people up, in
11 the most colloquial sense, to allow them to
12 tolerate the conditions of lockdown or because
13 homes were in crisis; there was no one to provide
14 care for them, and they were responding with
15 chemical restraints for these residents. So these
16 were things that we had heard in the news that
17 families had reported, and we wanted to look at.

18 So we examined the monthly proportion
19 of long-term care residents who were dispensed
20 psychotropics -- I'll describe what those are --
21 from April 2019 to September 2020. And we
22 looked -- the pre-pandemic period, so, you know,
23 obviously, our first case in Canada was the end of
24 January, but Feb. 26 was the time that we had our
25 first documented case of community transmission in

1 Ontario, and March 14th is when restrictions on
2 visitors, absences, and congregate dining came into
3 effect.

4 So the pre-pandemic period was February
5 2020 earlier, and then we looked March 2020
6 forward. We looked at the dispensation of four
7 psychotropic medications, so antipsychotics,
8 antidepressants, benzodiazepines, and trazodone.
9 So all these medications are psychoactive. Some of
10 them have -- many of them have sedating properties,
11 and many of them are used to treat what are called
12 responsive behaviours in people with dementia which
13 are also known as the behavioural and psychological
14 symptoms of dementia, things that are known to have
15 been exacerbated during the pandemic because of the
16 lack of interaction, social isolation, the physical
17 activity, fresh air, et cetera, that people endured
18 during the pandemic.

19 Again, much like other studies, we had
20 a falsification analysis. So we looked at two
21 drugs, metformin, which is used to treat diabetes,
22 statins, which are used to treat high cholesterol,
23 and we did not expect those to change or to go up
24 during the pandemic.

25 And then again, we looked -- we

1 looked -- January to February 2020 was the pre --
2 that was the start of the pre-pandemic period, and
3 then we looked March to September 2020 being the --
4 being the post-pandemic or pandemic period,
5 apologies.

6 So what we find for these drugs are
7 some very interesting trends. So antidepressants
8 is in the top left. This is the -- you know, the
9 linear trend of what you expect going back again
10 from -- all the way back from April 2019. So the
11 linear trend, if you were just to draw a line,
12 there's been a general increase, and you'll see the
13 proportions are small, but we're dealing with
14 70,000 residents. About 50% of all long-term care
15 residents are on an antidepressant, which may
16 surprise you, but this is known.

17 The general trend has been increased
18 prescribing, but you see this really sharp uptick
19 in that that line here is the start of the pandemic
20 in the prescription of antidepressants. Similarly,
21 also known that trazodone antidepressants and
22 antipsychotics have generally been going up in
23 prescribing where benzodiazepines have been going
24 down. That's been noted pre-pandemic.

25 But you see for antidepressants,

1 trazodone and antipsychotics, there's sharp upticks
2 in the -- in the proportion of residents that are
3 being dispensed these drugs.

4 Benzodiazepines continues on that
5 downward trend, but even so, there is an uptick in
6 prescribing during that time period.

7 Interestingly, the metformin and statins, there's a
8 sharp decline. And you may say, well, there's less
9 residents in long-term care; that's why. We
10 actually controlled for that in the denominator.
11 We looked at the proportion of residents who were
12 prescribed any medication.

13 So either this means that those
14 medications weren't refills because of the collapse
15 of medical care; the people who are on metformin
16 and statins were the ones who were more likely to
17 die, which is the possibility because they're
18 more -- diabetes and high blood pressure and
19 cardiovascular risk factors are known
20 cardiovascular risk factors for COVID-19 outcomes.

21 But clearly, there are some sharp
22 increases in the prescribing of psychotropic
23 medications, again, giving evidence to things that
24 family members were telling us were happening
25 during this time. Questions about that?

1 Okay. So I'm just going to speak --
2 that's sort of the end of the -- you know, data,
3 data part of the talk. A lot of this you've seen,
4 so I will -- I will go quicker, but I'll just talk
5 about specifically what I have been involved in
6 when it comes to promoting and implementing family
7 presence because I think there are some important
8 additions to be considered to the interim
9 recommendations that have been made.

10 So, you know, these photos, I've showed
11 to many people. This is from Winnipeg, right?
12 These are sort of the tragic -- I hate to use the
13 word iconic, but the photo that typifies the
14 experience of long-term care residents through
15 glass barriers.

16 I don't know if you've seen this one.
17 Someone hired a bucket crane at Baycrest in Toronto
18 to be -- because, you know, obviously, window
19 visits disadvantage the people who are in upper
20 levels of the building. Usually, people want to be
21 on upper levels of the building. This is one time
22 you don't want to be, so they hired a bucket crane.

23 This photo always gets me, and I show
24 it in many talks I do which is the hugging curtain.
25 This is a couple in Barcelona, and, you know, this

1 is supposed to be an innovation in family presence
2 and connectivity, but honestly, almost makes me
3 choke up every time that I -- that I see this
4 photo.

5 And then this is sort of someone from
6 Montréal just looking longingly out the window at,
7 really, the rest of the world that was re-opening
8 over the summertime.

9 We -- there's actually a new disorder
10 that's been characterized. I don't know if
11 someone's described this term to you, the
12 confinement syndrome in the course of the testimony
13 you've heard. But this letter in JAMDA, which is
14 one of the leading long-term care journals, was
15 from French physicians who noted that the
16 confinement disease is probably more deleterious
17 than the COVID -- the coronavirus disease itself.

18 And what we saw and what I have seen
19 are the collateral damages of this confinement
20 syndrome of the conditions of lockdown that were
21 imposed for months on long-term care residents had
22 really extreme collateral damages. So we saw --
23 this is a news report. You may have heard that
24 there was actually a resident where the coroner
25 concluded died of malnutrition in our province, so

1 dehydration and malnutrition.

2 I've seen people who went from walking
3 who are now wheelchair-bound who needed help with
4 minimal activities who now require help with
5 multiple activities. Certainly, exacerbation of
6 chronic medical conditions and mental health
7 disorders. I have spoken to caregivers who, when
8 they were finally allowed back in, their loved one
9 no longer recognized them anymore. There's been
10 worsening of responsive behaviours; you know,
11 pandemic loneliness and social isolation; and, of
12 course, psychological distress, depression, and
13 anxiety.

14 So we were really --

15 COMMISSIONER FRANK MARROCCO (CHAIR):
16 You know, Doctor, on that topic, there's been a lot
17 of -- I don't know if you -- if there's any
18 collateral or analogous connection, but solitary --

19 NATHAN STALL: Yes.

20 COMMISSIONER FRANK MARROCCO (CHAIR):
21 -- confinement cases --

22 NATHAN STALL: Yes. Yeah.

23 COMMISSIONER FRANK MARROCCO (CHAIR):
24 -- there's a lot of evidence to suggest that more
25 than five days --

1 NATHAN STALL: Yes.

2 COMMISSIONER FRANK MARROCCO (CHAIR):
3 -- in solitary confinement is a problem.

4 NATHAN STALL: Absolutely. And that's
5 where this term comes from, right, which is really
6 insane when you think about it that we're applying
7 terms that are -- you know, there's been the
8 multiple Supreme Court rulings -- I don't need to
9 tell you. It's embarrassing for me to tell you
10 something -- that solitary confinement is unlawful,
11 but this became the default response for our
12 long-term care residents in many ways.

13 COMMISSIONER FRANK MARROCCO (CHAIR):
14 Yeah, one of them is mine.

15 NATHAN STALL: Yes. Yes. Sorry. What
16 do you mean by that, one of them -- one of the
17 rulings, yes. Yes, sorry.

18 COMMISSIONER FRANK MARROCCO (CHAIR):
19 One of the rulings.

20 NATHAN STALL: Sorry. I thought you
21 meant you had a loved one. Sorry.

22 COMMISSIONER FRANK MARROCCO (CHAIR):
23 No. No. No.

24 NATHAN STALL: Yes, absolutely. That's
25 why I said it's embarrassing for me to tell that to

1 you.

2 So -- yeah, so one of the things that
3 we did -- and I don't know if you've seen this
4 document, is with my colleague, Samir Sinha, who I
5 know was the first person to testify at this
6 commission, or -- I keep using the word testify;
7 it's not sworn; it's a presentation -- but who gave
8 a presentation.

9 We worked really hard over the summer
10 to present to government and the world, really, and
11 the province, but actually, this has led to
12 international work -- really, to find a better
13 balance for how we can mitigate what we saw going
14 on.

15 And we laid out some principles. A lot
16 of this has been captured now in the -- in the --
17 but we did this in July -- has been captured in
18 your commission. So really, the need to
19 differentiate between family caregivers and general
20 visitors leaving the authority for an autonomy to
21 determine who is essential to support them in their
22 care, that should be the resident substitute
23 decisionmakers and their families.

24 You know, restricting access to
25 visiting has to balance the risk of social

1 isolation with the benefits you're getting from --
2 from preventing COVID-19 infection; having some
3 equity in policies, not just a quality, which was
4 something that was important; recognizing that, you
5 know, the conditions for visiting may be very
6 different for very -- for different residents, and
7 some people may need more, and some people may need
8 less.

9 I think having regular transparent and
10 evidence-based communication about what's dictating
11 these policies, it's often spoken about, you know,
12 that these are evidence-informed decisions, but
13 really, as I told you, there's an absence of
14 evidence to suggest that visitors or caregivers
15 have been involved in transmission which has been a
16 huge source of frustration, that have data, collect
17 data when you reopen homes, which, unfortunately,
18 hasn't been done in the way that we're aware of so
19 that, you know, our caregivers and visitors, are
20 they being implicated in outbreaks.

21 And I think something that really
22 frustrated people was have a mechanism for feedback
23 in an appeals process when there was disagreement.
24 People felt like they had no one to go to except
25 the media when it came to what they were seeing

1 with their loved ones.

2 I won't go through all of this, but we
3 really spelled out and we looked across the country
4 at what were some of -- and we looked
5 internationally as well at what policies were.

6 In the end, one of the things I think
7 was really -- and sorry -- we created separate
8 guidance for family caregivers and for visitors.

9 So one of the things that I think was
10 really to the credit of the Government that they
11 did was they took these recommendations that we had
12 made to heart. And the policy they came out with
13 at the beginning of September really aligned with
14 our recommendations on caregivers in terms of the
15 ability for them to designate their own caregiver,
16 the ability not to place time limits to allow them
17 into homes under conditions of outbreak. That was
18 something -- I would say we have one of the most
19 progressive caregiver policies in the country.
20 Could we do more? Absolutely. But, you know, the
21 things that came out, I think, were very positive
22 from that work.

23 Now, where do I have ongoing concerns,
24 and I think to add to the work that the Commission
25 has already done, in many ways, I feel that the

1 course that our government has chosen to pursue
2 with respect to management of our pandemic has been
3 one of trying to, without saying it, segment or
4 shield our vulnerable populations while allowing
5 the rest of society to live their life with some
6 basic hygiene measures.

7 And so what do I mean by that? You may
8 have heard the Great Barrington Declaration that
9 came out last month where this -- this is a
10 proponent of the shielding strategy where you try
11 and shield these vulnerable individuals and let the
12 people who are less vulnerable to bad outcomes from
13 the disease go on and live their life, so you don't
14 shut down the economy, and you try and get the best
15 of both worlds where you protect those who are most
16 vulnerable.

17 The counterpoint to that was the
18 John Snow Memorandum which I am clearly more in
19 agreement with which is that shielding is
20 impractical. It's impractical in the Province of
21 Ontario because shielding long-term care residents
22 would require shielding the more than 100,000
23 long-term care workers who live in the community.
24 And we spoke about how they, themselves, often live
25 in COVID hotspots where -- and often live in

1 multi-generational households, may not have sick
2 benefits, all the conditions of labour that you
3 have heard about.

4 But I think it's really unethical.
5 It's also -- sorry -- ineffective. There's no
6 empiric evidence, importantly, from any part of the
7 world that has been able to let COVID-19
8 transmission continue on without suppressing it,
9 keep their economy open, and be able to prevent
10 deaths disproportionately among older adults and
11 specifically those in long-term care. And it's
12 unethical.

13 So to segment a community without their
14 consent, really confine them to these indefinite
15 and harmful conditions on confinement, for people
16 who have limited life expectancies and are most
17 susceptible to these conditions of the confinement
18 syndrome is unethical.

19 So where I see the caregiver policy was
20 a huge -- was a -- was a really big and, I think,
21 important thing, and -- but I think there's really
22 still a need for more balanced and nuanced
23 infection prevention and control.

24 So right now, what has happened is
25 they've shut -- they've stopped short-term

1 absences. And again, it's like the visitor policy.
2 There's a difference between people, the caregivers
3 who are going in to feed their loved ones and
4 people like my 3-year-old twins who are going to
5 visit my grandmother who lives in a retirement home
6 for social reasons. Is the latter important and
7 vital? Absolutely, but there's a need to
8 distinguish between these policies.

9 So one of the things is they're no
10 longer allowing people to go outside for fresh air
11 and walks in the immediate vicinity of homes
12 because they've closed short-term absences. So
13 there can be more balance and nuance, and we could
14 have more humane, you know, public health measures
15 that, you know, okay, we don't want you going to
16 your loved one's house to have dinner indoors, but
17 walking around the block and getting fresh air is a
18 human right I think we should all have.

19 Similarly, many of the homes that are
20 in the high-alert status, which, you know, if you
21 think about Peel or Toronto are probably with the
22 current strategies that we have in place are going
23 to be on high-alert status indefinitely. And many
24 of them have moved to shut down things like
25 congregate dining and social activities and suspend

1 them and go back to dining in rooms and not having
2 social things that promote wellness and well-being
3 and quality of life for these people. So again, I
4 think we can have more nuance and balance.

5 If your home's under outbreak, clearly,
6 you want people in the rooms, and you need to start
7 isolating and cohorting people. But to
8 indefinitely serve people meals in their rooms and
9 not allow them to socialize, again, reflects a lack
10 of balance and nuance.

11 Similarly, in some of these homes, if a
12 home -- if a unit's on outbreak, and they're
13 totally separate from the other units with no --
14 with staff being cohorted, you need to think about
15 whether you actually need to shut down the
16 congregate dining and social activities for the
17 whole home as well.

18 And I think one of the things that was
19 sad to see and personally sad to see -- so -- and I
20 think it's not covered in the -- not necessarily
21 the purview of the Commission, but many of the --
22 many of the infection prevention and control
23 measures that are for long-term care have been
24 applied to retirement homes.

25 And they're sort of the lost, I would

1 say, child in all of this. You know, they have had
2 better outcomes, but the people who live in there
3 are usually a little less functionally dependent --
4 a little more independent, I should say. They have
5 almost exclusively private rooms and private
6 bathrooms, but they've had much better outcomes.

7 But these really draconian measures
8 have been also imposed on them, so I think what has
9 been really -- and I'm not sure why this was done,
10 was they stopped outdoor visiting for long-term
11 care homes which we know being outdoors is lower
12 risk. And they were asking people to be masked and
13 distanced. And I think that's another easy thing
14 that can be re-implemented.

15 So, for example, I'm no longer allowed
16 to see my grandmother who is in a retirement home
17 because we were visiting outdoors because that
18 was -- that was implemented. That was in the fall
19 when cases started to pick up, which I think is
20 something -- again, I can understand why they don't
21 want people going into the homes. Yes, it's
22 impractical to have outdoor visits in Canada in the
23 wintertime, but we're managing to find creative
24 solutions for people to do this for things like
25 restaurants on patios. We should be available to

1 do this for people in long-term care.

2 Questions about that?

3 COMMISSIONER FRANK MARROCCO (CHAIR):

4 No. We're good.

5 NATHAN STALL: Okay. In the last part,
6 which I've -- again, I think -- I don't think
7 you've heard about this, and I think it's
8 important, is how we executed a response to a home
9 experiencing a COVID-19 outbreak.

10 So you'll know on April 22nd, also when
11 the order came to limit staff to one home, the
12 Government asked hospitals to develop and deploy
13 these specialized COVID-19 SWAT teams to provide
14 additional staffing, IPAC occupational health and
15 operational support. There was no road map for
16 this. We weren't really told what we needed to do
17 specifically other than to help.

18 And so we -- I took -- you know, we
19 wrote this up, actually, in the Journal of American
20 Geriatric Society. Actually, we wrote it up in
21 May. The work was done in April and May. And a
22 huge team of individuals who contributed to this --
23 and I'll show you.

24 The home we became involved in when we
25 became involved in mid-April, almost the entire

1 cohort of residents had been infected, 85.8%. We
2 ended up, 1/5th were admitted to acute-care
3 hospital, and 1/5th of all the people ended up
4 dying. So -- and this is the epidemic curve, so it
5 was a really severe outbreak that was experienced
6 by this home.

7 What we did in the first 72 hours, and
8 I think this might -- and other people have reached
9 out to us to share what we did, and I'm not saying
10 it's the best way or the right way, but it
11 certainly worked, and there was a structure here.

12 We built -- so we had an environmental
13 scan in our hospital, and their -- what their
14 clinical expertise was, what their staffing was,
15 what their supplies were, and what their equipment
16 needs were.

17 We built a team with geriatric medicine
18 that I led, one of my palliative care colleagues,
19 and our IPAC or infection prevention and control
20 clinicians. We evaluated their staffing shortages.
21 We determined they're PPE stockpile, their supply
22 chain, and their expected burn rate of personal
23 protective equipment, and we assessed their
24 shortages and expected needs.

25 My IPAC colleague did -- they reviewed

1 the outbreak line list that I showed you part of in
2 the last slide, plotted that epidemiological curve
3 I showed you, did a rapid assessment of what the
4 IPAC gaps were. Widespread testing was done of the
5 remainder of the residents.

6 We built a team, and I think one of the
7 things was we actually drew a lot on the literature
8 of disaster management response, and we came at
9 this from one of team building and trust building
10 and collaboration rather than the hospital was
11 taking over the home as has often been described.

12 So we built a team, and I'll show you
13 that team -- a clinical and operations team which
14 had senior leadership from our hospital,
15 administrators, nurses. We had -- we were really
16 fortunate to have our hospital fully onboard with
17 clinicians and geriatrics, palliative care,
18 psychiatry, pharmacy, and infection prevention and
19 control.

20 And one of the things we immediately
21 did was we decanted 15 residents to the acute-care
22 hospital. That speaks to that crowding thing I was
23 speaking about earlier on where there was -- we
24 realized it was so out of control in the home,
25 there was no vacant rooms. There was nowhere to

1 cohort and isolate people, and they had such a
2 collapse in staffing that there was no one to look
3 after the residents.

4 So we made the extraordinary decision
5 with the support of the hospital to actually send
6 15 residents that they chose the home to our
7 hospital and admitted them to Mount Sinai Hospital.

8 This was the clinical and operations
9 team. We had four, really, arms of this working.
10 There's the clinical team, the IPAC team, the
11 health human resources team, and the PPE supply
12 team.

13 So in the clinical team, which I help
14 lead with a palliative care physician and the
15 senior nurse administrator, we established the
16 infrastructure for provision of virtual care. So
17 we actually donated iPads to them and had a secure
18 video-conferencing technology.

19 We rapidly, as I'll show you, went
20 through the home and triaged and assessed all the
21 nursing home residents to figure out whether they
22 wanted to go to hospital, whether they wanted
23 active medical management, or palliative care.

24 We had a lot of goals of care
25 discussions and advanced-care planning discussions.

1 As I'll show you, we provided active medical
2 management, palliative care within the home. We
3 provided psychiatric support and care for the
4 residents and psychosocial support for the
5 frontline staff. And we worked with our
6 pharmacists and colleagues of the home to ensure
7 they had access to medical equipment, drugs, and
8 supplies.

9 I mean, before we came, they were using
10 coat hangers to hang up bags of normal saline.
11 They didn't have enough oxygen tanks. They were
12 looking on Amazon to secure concentrators for the
13 oxygen. So this is -- you know, this is happening
14 in Canada, so this was the level of crisis that
15 this home was in when it came to supply.

16 There was a really detailed IPAC
17 assessment that was done around education and
18 training that was provided. They coordinated the
19 rooms that they moved residents between,
20 coordinated the cleaning of the room to show -- to
21 advise them this is where you should move this
22 resident and that resident so that we were properly
23 cohorting and isolating. The health human
24 resources team worked with staff at the home to
25 identify who was sick and when they could come back

1 to work.

2 We actually deployed from our hospital
3 a dozen RPNs and PSWs and one clinical nurse
4 specialist for a one-month assignment at the home
5 to help with their staffing crisis. And a lot of
6 personal protective equipment was sent over there
7 as well as medical supplies from our hospital.

8 So in the first seven -- in that -- in
9 the next seven days after we established -- as I
10 showed you, we established that team, everyone was
11 tested. We decanted the residents.

12 For the people in the home, we actually
13 set up -- because many of us were working in the
14 hospital and doing this as well, and we couldn't
15 travel between the sites, a lot of this was done
16 virtually.

17 So we -- there was donation of iPads.
18 So we established the infrastructure for virtual
19 care. We brought in the family physicians who were
20 working and who would join the virtual rounds to be
21 able to advise on the care of their residents.

22 The first 72 hours we got access to
23 their electronic medical record, we triaged all the
24 residents. We laid eyes on all of them, and we
25 made, as I'll show you this, sort of, pandemic

1 assessment and triage tool. One of the things we
2 found, which is not unique to this home, was that
3 they were screening for COVID using the typical
4 symptoms, the fever and the cough, whereas we know
5 that long-term care residents are more likely to
6 have atypical symptoms. They're more likely to be
7 confused, delirious, not eating.

8 So we made this tool, and we flagged
9 all the residents that -- they flagged for us who
10 they thought was sick. We laid eyes on all of
11 them, and then we made these decisions in real time
12 often speaking with their substitute decisionmaker,
13 did they want to remain in the home or go to
14 hospital? If they wanted to remain in hospital, we
15 coordinated to take them to Mount Sinai Hospital so
16 there was a smooth transfer of care.

17 If they wanted to remain in the home,
18 did they want active medical management or
19 palliative care? And we provided both of that to
20 them. We provided -- we arranged stat and in-home
21 laboratory and imaging services. We taxied over a
22 lot of oxygen tanks from Sinai to give them oxygen.
23 One of the fears they had was that low-flow oxygen
24 might aerosolise COVID-19 which has been shown that
25 it cannot.

1 We used a lot of hypodermoclysis,
2 which, instead of putting it in the intravenous,
3 you actually put the needle subcutaneously, and we
4 rehydrated a ton of residents that way. And we
5 were available -- my colleague Dr. Ramona Mahtani
6 and I were available 24/7 over a period of two
7 months to respond at any time to them for clinical
8 concerns or emergency situations, so we were
9 basically on call for them.

10 We provided high-quality palliative
11 care. My IPAC colleagues went there and did actual
12 onsite training of donning and doffing, education
13 about modes of transmission. We had talked about
14 the room changes and terminal cleans, setting up
15 donning and doffing stations, and then the
16 occupational health measures.

17 What I think was really essential about
18 this -- and I think as we think about this wave and
19 the future of long-term care in connection with
20 acute-care hospitals, is we didn't just leave once
21 the -- once the outbreak was declared over. So,
22 yes, we gave them -- there was the deployment of
23 hospital-based staff. I'll just speak about the
24 final things that we did.

25 We actually had a pharmacist who

1 consolidated and streamlined medications because if
2 people are getting medications three times a day,
3 it would necessitate the careworker to go in three
4 times a day and don and doff their equipment, so we
5 streamlined medications to twice or once a day, got
6 rid of unnecessary medications.

7 Our geriatric psychiatry team was
8 phenomenal in providing support for their residents
9 who were having the things I showed you, the
10 exacerbation of mental health conditions, worsening
11 of their responsive behaviours.

12 One of the things they did and
13 continued to do is they provided support for the
14 frontline nursing home staff who were traumatized,
15 understandably so, by what had gone on.

16 And then we provided stabilizing IPAC
17 interventions which continue to go on to this day
18 with the home to oversee what's going on with their
19 IPAC procedures and to make sure that they're
20 following the necessary things. To their credit,
21 they have not had another outbreak during the
22 second wave.

23 And we transitioned care back to the
24 nursing-home staff and physicians, and they
25 actually used the virtual care infrastructure that

1 we had built. One of the things that had happened
2 and that I think your commission has also heard is
3 that the medical model which was already -- and
4 I'll speak about that in my last two slides --
5 entirely collapsed in many homes. And in this
6 home, you know, many of the long-term care
7 physicians, they, themselves, are older adults.
8 They were advised by their own physicians not to go
9 into homes, and many of them work at multiple homes
10 that were each experiencing catastrophic outbreaks.
11 So they, themselves, were totally overwhelmed for
12 the most part and unable to capably assist in all
13 of the homes they were involved in.

14 The final thing I'll say is there was a
15 paper by long-term care physician colleagues on
16 improving medical services in Canadian long-term
17 care homes. They've put out some recommendations
18 which I think are actually essential, some of them
19 at least, about how to improve medical services.
20 One of them is the time commitment, four hours a
21 week for every 25 to 30 residents they've
22 established as a reasonable practice cohort for a
23 physician to have. As I said, many physicians have
24 practices at five, six, long-term care homes where
25 they may be responsible for hundreds of residents.

1 The necessity of physical presence
2 during outbreak management, that you cannot rely
3 solely on virtual care, that there is a time and a
4 space where you need to actually have boots on the
5 ground to get in there and assess what's going on.
6 They talked about some remuneration that might be
7 required particularly for the medical director role
8 to reflect the increased work during pandemics and
9 outbreaks that that's required.

10 I think maintenance of competency is a
11 huge thing. A lot of people who work in long-term
12 care don't have care of training -- or don't have
13 training of care of the elderly. They may not have
14 training for long-term care. They may have learned
15 it on the go, but there's also no real -- there's
16 no real maintenance of competency or continuing
17 medical education. And there may be no added
18 training for medical directors to be able to assume
19 this role of leadership, the medical director.

20 Many of the homes simply rotate the
21 medical director role, and that has to do with
22 rotation of the -- of the extra stipend that they
23 get for the medical director role, not necessarily
24 to rotate leadership to, you know, have fresh
25 leadership. They -- if there's three or four

1 physicians in the home, they may rotate the medical
2 director role quarterly so that they split the
3 stipend.

4 I think one of the things we really saw
5 is, you know, often the default is to send somebody
6 to hospital, and that's because there was not --
7 you know, not availability of things that we were
8 struggling with but were able to secure which is
9 lab services, timely diagnostic imaging, medical
10 supplies. We were lucky -- very fortunate that the
11 staff at the home had just been trained in how to
12 give subcutaneous hydration prior to the pandemic
13 starting, so we were able to literally rehydrate
14 and save the lives of people just by rehydrating
15 them with the expertise that the staff at the home
16 had done.

17 And I think credentialing, there needs
18 to be a standardized credentialing process because
19 we saw the collapse of the medical model of care
20 and the fact that, you know, physicians in many
21 homes stopped coming in to provide care and were
22 totally overwhelmed. They need to be better
23 trained specifically in care of the elderly and
24 long-term care but also in -- clearly in outbreak
25 management as it relates to the COVID-19 pandemic.

1 So I know I've talked a lot, and I went
2 over time, but I'm really happy to take questions,
3 and I sincerely appreciate you listening to what
4 I've had to say today.

5 COMMISSIONER FRANK MARROCCO (CHAIR):
6 I think we asked -- I don't see either of the
7 Commissioners wanting to ask any further questions.
8 I think -- I think we asked the questions as we
9 went along.

10 And, Doctor, you thanked us for
11 listening, but thank you for the preparation and
12 the obvious work that went into this. It will be a
13 help to us going forward, and thank you for taking
14 the time to do that.

15 NATHAN STALL: No. Thanks for having
16 me, and I did share my slides, so, please, those
17 can be publicly posted and used as need be.

18 COMMISSIONER FRANK MARROCCO (CHAIR):
19 All right. And thanks again.

20 COMMISSIONER ANGELA COKE: Thank you.

21 COMMISSIONER FRANK MARROCCO (CHAIR):
22 And we may be back.

23 NATHAN STALL: Okay. Be happy to.

24 Did you have something to say,
25 Commissioner Kitts?

1 COMMISSIONER ANGELA COKE: Just thank
2 you.

3 COMMISSIONER JACK KITTS: I was just
4 going to say that that was extremely clear, and I'm
5 so impressed on how up to date it is. It's -- it
6 was a very good presentation. Thank you, Dr.
7 Stall.

8 NATHAN STALL: Oh, thank you. That's a
9 benefit of being young.

10 COMMISSIONER FRANK MARROCCO (CHAIR):
11 We're trying to -- I think you wanted to put an end
12 to agism, so --

13 NATHAN STALL: I know.

14 COMMISSIONER JACK KITTS: I'm not sure
15 I was ever that good.

16 COMMISSIONER FRANK MARROCCO (CHAIR):
17 Goodbye, Doctor. Thanks again.

18 NATHAN STALL: Okay. Thank you so
19 much. Take care.

20 COMMISSIONER ANGELA COKE: Thank you.

21 COMMISSIONER JACK KITTS: Thanks.

22 NATHAN STALL: Bye-bye.

23 -- Adjourned at 12:30 p.m.
24
25

REPORTER'S CERTIFICATE

I, JANET BELMA, CSR, Certified
Shorthand Reporter, certify:

That the foregoing proceedings were
taken before me at the time and place therein set
forth;

That all remarks made at the time
were recorded stenographically by me and were
thereafter transcribed;

That the foregoing is a true and
correct transcript of my shorthand notes so taken.

Dated this 13th day of November, 2020.



NEESONS, A VERITEXT COMPANY

PER: JANET BELMA, CSR

CHARTERED SHORTHAND REPORTER

1 Page 6: Jama Network open? Should be JAMA Network
2 Open.

3 Page 76: Should be "imaging services" and not
4 "emery services"

<u>WORD INDEX</u>	22nd 43:17 44:2, 20 70:10 24/7 77:6 25 79:21 25.8 22:1 26 54:24 26.9 51:24 263 25:11 27.8 22:14 28 50:23 < 3 > 3 14:9 23:16 3,114 50:23 30 39:25 79:21 30,000 33:13 48:22 30.8 52:1 34 29:11 36 21:24 37 21:25 37.3 21:25 3-year-old 67:4 < 4 > 4 20:18 22:7, 10 23:10 4.5 23:11 41.2 51:25 42.7 44:20 45 18:2 < 5 > 5 23:15 49:7 5,000 27:13 5,070 25:14 5,218 22:12 50 56:14 53 9:13 < 6 > 6 85:1 63 22:19 < 7 > 70 31:25 39:16 47:20 70,000 56:14 70.3 44:25 72 71:7 75:22 75.9 51:15 76 85:3 78 17:18 49:14	< 8 > 80 45:5 49:14 80s 52:6 85.8 71:1 86 22:18 88.8 51:16 < 9 > 9.7 23:11 90 32:1 39:16 90-fold 7:6 < A > a.m 1:12 3:1 abandoned 38:24 abilities 35:6 ability 47:9 64:15, 16 absence 19:5, 6 35:20 63:13 absences 55:2 67:1, 12 Absolutely 29:20 31:11 35:14 39:14 40:6 41:10 61:4, 24 64:20 67:7 accepted 41:17 access 43:13 62:24 74:7 75:22 accommodation 8:13 account 11:18 14:6 24:12 acknowledged 41:24 action 48:3 active 73:23 74:1 76:18 activities 60:4, 5 67:25 68:16 activity 55:17 actual 77:11 acute 4:13 49:17 acute-care 51:18 71:2 72:21 77:20 add 64:24 added 80:17	additional 5:5 31:9 36:21 70:14 additions 58:8 address 48:12 Adjourned 83:23 adjust 14:11 adjusted 11:25 24:12 administrator 73:15 administrators 72:15 admission 30:9 admissions 26:25 30:25 51:18 admit 30:15, 22 admitted 35:1 71:2 73:7 adults 6:19 50:2 66:10 79:7 advanced 51:9 advanced-care 73:25 adverse 43:1 advise 74:21 75:21 advised 79:8 advocate 39:18 aerosolise 76:24 after 35:8 45:11, 21 73:3 75:9 age 35:3 52:3, 4 agency 43:22 45:25 47:3 agent 20:8 ages 7:5 aggressive 52:14 agism 41:15 83:12 agreement 65:19 ahead 15:18 air 55:17 67:10, 17 ALC 30:13 aligned 64:13 Alison 2:9 alive 39:19 alleviates 31:9	allow 54:11 64:16 68:9 allowed 60:8 69:15 allowing 41:7 65:4 67:10 Amazon 74:12 American 70:19 amount 48:18 analogous 60:18 analyses 18:21 analysis 21:5 24:9, 22 25:21 48:6, 17 55:20 Angela 1:18 3:4, 7 31:17 38:4 82:20 83:1, 20 announcement 21:18 anonymized 18:19 43:13, 24 antidepressant 56:15 antidepressants 54:9 55:8 56:7, 20, 21, 25 antipsychotics 54:9 55:7 56:22 57:1 anxiety 60:13 anybody 3:11 anymore 60:9 anytime 4:9 Anyways 43:7 apologies 56:5 appeals 63:23 applied 68:24 apply 31:3 43:22 applying 61:6 apportioned 36:16 appreciate 82:3 appropriate 32:5 appropriated 28:8 April 6:8, 25 43:17 44:2, 19 51:17, 21 52:19 54:21 56:10 70:10, 21 area 34:5 areas 9:19
--------------------------	--	--	--	--

argue 7:1 29:5
32:17 38:14
40:10
arms 73:9
arranged 76:20
asked 70:12
82:6, 8
asking 13:2
69:12
aspect 36:6
aspects 4:22
assess 80:5
assessed 71:23
73:20
assessment
72:3 74:17 76:1
assign 20:23
assignment 75:4
assist 79:12
assistance 35:4,
5
Assistant 2:9
assisted 53:13
Associate 1:23
associated 5:1
7:9 15:20
Association
5:16 7:20
assume 80:18
attack 26:14
attending 1:10
attention 17:19
atypical 76:6
August 37:16
52:1
authority 62:20
autonomy 62:20
availability 81:7
available 48:18
69:25 77:5, 6
average 20:12
45:2
averted 25:10
32:21
avoided 35:21
aware 63:18

< B >

back 33:21, 24
36:3 39:13
56:9, 10 60:8
68:1 74:25
78:23 82:22
bad 20:5 26:7

65:12
badly 15:8
bags 74:10
Bahal 2:15
balance 41:1
62:13, 25 67:13
68:4, 10
balanced 66:22
Barcelona 58:25
barriers 26:22
58:15
Barrington 65:8
based 25:21
basic 3:25
26:5 53:7 65:6
basically 77:9
bathroom 20:6,
13, 15, 17
bathrooms 69:6
Baycrest 58:17
bed 38:25
bedded 22:1
beds 21:24
27:16 30:10
33:12, 14 34:7,
8 36:4, 15
beginning 6:7
26:24 53:16
64:13
behavioural
55:13
behaviours
55:12 60:10
78:11
believe 43:6
Belma 2:21
84:3, 24
benefit 83:9
benefited 53:5
benefits 63:1
66:2
benzodiazepines
54:9 55:8
56:23 57:4
Bergamo 38:21
best 65:14
71:10
Better 3:10
40:10, 16 62:12
69:2, 6 81:22
bias 38:9, 16
41:11, 12
biases 39:2
52:13
big 9:7 66:20

bigger 9:3
16:17
bit 42:9 45:17
block 67:17
blood 57:18
BlueDot 43:13
Bobcaygeon
19:10
boots 80:4
bore 7:7
bottom 15:10
17:20
breakdown 9:10
briefing 28:23
British 47:25
broad 8:25
brought 75:19
brush 18:5
bucket 58:17, 22
build 27:12
36:14 48:22
building 33:12
58:20, 21 72:9
built 20:22
71:12, 17 72:6,
12 79:1
bunch 14:22
burn 71:22
Bye-bye 83:22

< C >

call 21:4 77:9
called 10:7
19:19 20:11
21:4 26:13
55:11
calling 28:1, 3
Canada 49:12
54:23 69:22
74:14
Canadian 7:20
79:16
Candidate 1:25
capably 79:12
capacity 21:23
capita 34:19
capture 9:21
54:3
captured 62:16,
17
capturing 54:2
cardiovascular
57:19, 20
CARE 1:3 2:1,
10, 12, 14, 16
4:13, 16, 20, 24
5:2, 8, 13 6:1, 9,
18 7:9, 22 8:2,
3, 5, 9, 11 9:1
18:1 21:23
25:13 31:23
33:25 34:7, 11,
14, 19, 22, 23, 25
35:1, 10, 12, 15
36:8, 9, 15
38:24 39:7, 12,
14, 16 40:13, 23
41:13, 20 42:3
43:18, 19, 20
48:13, 14 49:14,
17, 19 50:2, 15
51:5, 8, 20
52:15, 18 53:5,
7, 9, 15 54:1, 14,
19 56:14 57:9,
15 58:14 59:14,
21 61:12 62:22
65:21, 23 66:11
68:23 69:11
70:1 71:18
72:17 73:14, 16,
23, 24 74:2, 3
75:19, 21 76:5,
16, 19 77:11, 19
78:23, 25 79:6,
15, 17, 24 80:3,
12, 13, 14 81:19,
21, 23, 24 83:19
care-dwelling
7:5
caregiver 64:15,
19 66:19
caregivers
18:25 19:3
60:7 62:19
63:14, 19 64:8,
14 67:2
careworker 78:3
case 10:23
11:2 22:13
54:23, 25
cases 8:4 25:5,
10, 11 33:5
60:21 69:19
catastrophic
79:10
categorize 22:5
cellphone 18:19
cellphones

43:14
centrally 17:9
centres 28:9
certainly 7:17
60:5 71:11
CERTIFICATE
84:1
Certified 84:3
certify 84:4
cetera 20:22
55:17
chain 14:16
15:1, 4, 14
16:12, 15, 17, 23
17:2, 3, 7, 21, 23
18:6 19:25
71:22
chains 9:24
10:1, 2
CHAIR 3:2, 9,
14, 17, 22 4:5
11:5, 13 25:18
27:11, 15, 18, 22
29:10, 21 30:1,
5 31:7, 12, 15
33:2, 7, 18
36:19 37:1, 5, 8,
12, 18, 24 38:2
42:11, 14, 17, 20,
24 43:8 47:6,
13 53:21 60:15,
20, 23 61:2, 13,
18, 22 70:3
82:5, 18, 21
83:10, 16
challenge 27:3
29:13 31:25
34:24
challenges
31:22
challenging
40:25
chance 28:5
chances 21:13
change 40:4
55:23
changed 31:2
changes 77:14
characteristics
11:25 24:14
characterized
59:10
chart 9:7
CHARTERED
84:25

<p>Chartwell 49:11 50:19 check-in 44:8, 13 chemical 54:15 child 69:1 choice 35:11, 13 40:17 choke 59:3 cholesterol 55:22 choosing 46:5 chose 73:6 chosen 65:1 chronic 60:6 cited 13:17, 21 city 35:18 38:20 Clair 32:8 clarification 4:9 classic 36:13 classified 38:15 cleaning 74:20 cleans 77:14 clear 25:5 30:13 47:7, 16 52:23 83:4 clearly 9:25 23:21 47:19, 25 53:14 57:21 65:18 68:5 81:24 Clinical 1:23, 25 3:20 4:14, 19, 24 20:2 71:14 72:13 73:8, 10, 13 75:3 77:7 clinicians 39:5 71:20 72:17 Clinician- Scientist 2:4 close 46:2 closed 67:12 clustered 15:9 clustering 15:2 17:23 coat 74:10 cognitive 32:1 35:5 39:2, 17 cohort 19:21 26:20 28:6, 17 52:5 71:1 73:1 79:22 cohorted 68:14</p>	<p>cohorting 68:7 74:23 Coke 1:18 3:4, 7 31:16, 17 36:22 38:3, 4 82:20 83:1, 20 collaboration 72:10 collapse 57:14 73:2 81:19 collapsed 79:5 collateral 59:19, 22 60:18 colleague 6:3, 13 62:4 71:25 77:5 colleagues 6:12 7:17 43:12 71:18 74:6 77:11 79:15 collect 63:16 College 1:25 2:3 32:8 colloquial 54:11 colour 23:20 42:2 Columbia 47:25 column 50:24 come 21:13 27:3 33:21 53:19 74:25 comes 25:6 39:6 58:6 61:5 comfortable 34:12 coming 7:22 18:18 35:18 38:23 43:5 81:21 command 32:15 commencing 3:1 comment 33:21 49:2 comments 14:1 COMMISSION 1:3 2:10, 12, 14, 17 34:18 37:22 39:24 40:5 41:23 49:11, 21 50:20 54:1 62:6, 18 64:24 68:21 79:2 Commissioner 1:17, 18, 19 3:2,</p>	<p>4, 7, 9, 14, 17, 22 4:5 11:5, 13 15:19, 23 16:3, 10, 15 18:12 25:18 27:11, 15, 18, 22 29:10, 21 30:1, 5 31:7, 12, 15, 16, 17 33:2, 7, 18, 20 36:18, 19, 22 37:1, 5, 8, 12, 18, 24 38:2, 3, 4 42:11, 14, 17, 20, 24 43:8 47:6, 13, 15 48:5 49:4 53:21 60:15, 20, 23 61:2, 13, 18, 22 70:3 82:5, 18, 20, 21, 25 83:1, 3, 10, 14, 16, 20, 21 commissioners 43:4 82:7 commitment 79:20 commitments 33:10 common 9:19 communication 28:21 63:10 communities 13:6 29:6 community 12:2 13:12 15:25 29:5 34:23, 25 35:12 41:9 50:1 51:1, 7 52:6 54:25 65:23 66:13 community-care 36:17 community- dwelling 6:18 7:4 51:4, 13 52:5 COMPANY 84:23 comparative 48:17 compare 7:2, 3 compared 9:1, 13 16:8 23:8, 25 24:18 34:19 45:8 50:1</p>	<p>comparing 12:16 competency 80:10, 16 competing 31:4 48:12 completing 4:13 complexity 35:3 concentration 53:3 concentrators 74:12 concerning 46:22 concerns 49:15 53:15 64:23 77:8 concluded 59:25 concurrently 40:11 conditions 53:12 54:12 59:20 60:6 63:5 64:17 66:2, 15, 17 78:10 confidence 12:12 confident 35:21 confine 66:14 confinement 59:12, 16, 19 60:21 61:3, 10 66:15, 17 confused 76:7 confusing 15:18 congregate 35:15 43:20 55:2 67:25 68:16 connection 44:5, 19, 21, 25 46:20 60:18 77:19 connections 44:4, 15 45:1, 2, 3 connectivity 44:3 45:6, 9, 18, 19, 20 46:7, 9 47:21 48:1 59:2 consent 66:14 consequences 43:2 considered 58:8</p>	<p>considering 46:23 consistent 10:25 52:10 consolidated 78:1 constant 51:14 construction 25:25 contain 41:7 containing 15:11 contention 19:7 contiguous 44:9 continue 66:8 78:17 continued 78:13 continues 57:4 continuing 80:16 contract 43:23 48:18 contracted 19:11 contraction 30:10 contributed 17:3 53:2 70:22 contributors 46:17 control 11:8 20:4 26:6 66:23 68:22 71:19 72:19, 24 controlled 57:10 convention 28:9 conversation 36:9 conversations 50:16 converted 25:3, 8 cooled 37:15 coordinated 74:18, 20 76:15 coordinators 30:16 corner 17:20 coronavirus 59:17 coroner 59:24 correct 29:12, 14 84:15 correspond 6:20</p>
--	--	---	--	---

<p>corroborate 54:4</p> <p>cough 76:4</p> <p>Councils 5:17</p> <p>counterpoint 65:17</p> <p>countries 34:20</p> <p>country 64:3, 19</p> <p>couple 58:25</p> <p>course 17:12 19:5 25:14 32:12 40:21 41:22 46:8 59:12 60:12 65:1</p> <p>Court 61:8</p> <p>covered 68:20</p> <p>COVID 35:17 59:17 65:25 76:3</p> <p>COVID-19 1:3 4:16, 21 5:1, 9, 12 6:22 8:4, 17, 18 10:25 11:20 12:1, 10, 24 13:6, 12 14:6 18:1, 9, 23 19:11, 16 21:3 22:12, 17 23:11 24:2, 8, 17, 20, 23 25:10 29:7 46:24 49:13, 20 50:22 51:18 53:24 57:20 63:2 66:7 70:9, 13 76:24 81:25</p> <p>crane 58:17, 22</p> <p>create 28:4 36:21</p> <p>created 6:14 30:10 64:7</p> <p>creating 31:9</p> <p>creative 36:11 69:23</p> <p>credentialing 81:17, 18</p> <p>credit 27:2 64:10 78:20</p> <p>crises 48:12</p> <p>crisis 32:14 37:2 53:8 54:13 74:14 75:5</p> <p>critical 49:17 50:15</p>	<p>cross 12:12 28:22</p> <p>crowded 16:17 20:24 21:12, 14 22:6 23:13 24:11, 15 26:19 28:14 29:4, 19 32:18 34:5 35:16</p> <p>crowdedness 27:6</p> <p>crowding 20:11, 15, 17, 23 21:7, 20 22:2, 6, 7, 9 23:9, 10 24:1, 22 25:6, 15 26:15 30:19 31:5 72:22</p> <p>crowding-index 24:19</p> <p>crowing 22:4</p> <p>crudely 10:3 11:17</p> <p>CSR 84:3, 24</p> <p>cumulative 21:2</p> <p>curious 31:18</p> <p>current 67:22</p> <p>curtain 58:24</p> <p>curve 71:4 72:2</p> <p>cut 19:21</p> <p>< D ></p> <p>damages 59:19, 22</p> <p>dangerous 30:19 31:5 41:3</p> <p>darker 23:20</p> <p>data 5:17 6:6, 12, 15, 21 8:1, 2, 5 16:20 18:19 19:21 43:13, 25 48:15 50:24 54:4 58:2, 3 63:16, 17</p> <p>date 83:5</p> <p>Dated 84:18</p> <p>dates 19:21 50:25</p> <p>David 6:2 43:5</p> <p>Dawn 2:11</p> <p>day 1:11 35:10 48:15 78:2, 4, 5, 17 84:18</p> <p>days 33:17</p>	<p>60:25 75:9</p> <p>dead 38:25</p> <p>deadlier 17:24</p> <p>deal 27:23 47:9</p> <p>dealing 17:6 56:13</p> <p>death 6:17, 20 7:6, 10 10:15 51:14 53:3</p> <p>deaths 7:3 8:19 10:3, 16, 19 17:15, 18 21:16 25:6, 11, 12 32:20 35:22 49:13 66:10</p> <p>decade 33:24</p> <p>decanted 72:21 75:11</p> <p>decanting 31:19</p> <p>decision 73:4</p> <p>decisionmaker 76:12</p> <p>decisionmakers 62:23</p> <p>decision-makers 13:18</p> <p>decisions 35:19 63:12 76:11</p> <p>Declaration 65:8</p> <p>declared 77:21</p> <p>decline 57:8</p> <p>decrowd 28:12 29:9, 18, 19</p> <p>decrowded 25:12</p> <p>decrowding 29:7</p> <p>deeper 9:5</p> <p>default 61:11 81:5</p> <p>definitely 18:23 19:14, 15</p> <p>degree 39:10</p> <p>dehydration 60:1</p> <p>deleterious 59:16</p> <p>delirious 76:7</p> <p>deliver 9:1 31:23</p> <p>delivery 46:10</p> <p>dementia 32:1 35:4 39:16 55:12, 14</p>	<p>dementia-related 41:18</p> <p>demographics 51:1</p> <p>Denmark 36:13</p> <p>denominator 57:10</p> <p>denying 49:18</p> <p>dependent 69:3</p> <p>depends 49:14</p> <p>deploy 70:12</p> <p>deployed 75:2</p> <p>deployment 77:22</p> <p>depression 60:12</p> <p>Deputy 2:9</p> <p>Derek 2:16</p> <p>describe 5:22 54:20</p> <p>described 38:6 41:16 59:11 72:11</p> <p>descriptive 22:22</p> <p>design 9:15, 17 11:7 14:16, 25 15:3, 14 16:13 17:20 19:24 25:24 26:2 36:1</p> <p>designate 64:15</p> <p>despite 13:10</p> <p>detail 5:4</p> <p>detailed 74:16</p> <p>determine 62:21</p> <p>determined 71:21</p> <p>determines 16:1</p> <p>detrimental 50:5</p> <p>develop 70:12</p> <p>developed 22:12</p> <p>device 43:24 44:13</p> <p>diabetes 55:21 57:18</p> <p>diagnoses 35:4</p> <p>diagnostic 81:9</p> <p>diagram 45:14</p> <p>dictating 63:10</p> <p>die 23:1 53:9 57:17</p> <p>died 18:1 22:13 23:16 50:21 51:22</p>	<p>52:21 59:25</p> <p>diet 43:1</p> <p>difference 67:2</p> <p>different 10:20 14:20 19:20, 22 20:20 63:6</p> <p>differentiate 62:19</p> <p>differently 7:23</p> <p>difficult 35:19</p> <p>digital 44:8</p> <p>dignity 53:10</p> <p>dining 55:2 67:25 68:1, 16</p> <p>dinner 67:16</p> <p>directive 26:24 27:2, 4</p> <p>directives 51:9</p> <p>Director 2:11, 16 80:7, 19, 21, 23 81:2</p> <p>directors 80:18</p> <p>disadvantage 58:19</p> <p>disagreement 63:23</p> <p>disaster 72:8</p> <p>discouraged 49:22</p> <p>discouraging 50:18</p> <p>discrepancies 52:17</p> <p>discrimination 41:14, 17, 18</p> <p>discussed 13:25</p> <p>discussions 73:25</p> <p>disease 59:16, 17 65:13</p> <p>disorder 59:9</p> <p>disorders 60:7</p> <p>dispensation 55:6</p> <p>dispensed 54:19 57:3</p> <p>display 42:7</p> <p>disproportionate 22:20</p> <p>disproportionatel y 66:10</p> <p>distanced 69:13</p> <p>distinguish 67:8</p> <p>distress 60:12</p>
---	---	---	--	---

<p>distributed 22:17</p> <p>distribution 21:19 22:2</p> <p>dive 9:6</p> <p>Doctor 3:11 11:6 25:19 60:16 82:10 83:17</p> <p>document 50:7, 9 62:4</p> <p>documented 45:12 54:25</p> <p>doff 78:4</p> <p>doffing 77:12, 15</p> <p>doing 8:23 10:4 20:2 23:5 26:21 37:4 75:14</p> <p>don 78:4</p> <p>donated 73:17</p> <p>donation 75:17</p> <p>donning 77:12, 15</p> <p>Doris 40:1</p> <p>dots 45:15, 18</p> <p>double 9:22 21:25</p> <p>downward 57:5</p> <p>dozen 75:3</p> <p>draconian 69:7</p> <p>draft 50:9</p> <p>draw 17:19 56:11</p> <p>drew 44:5 72:7</p> <p>drill 3:25</p> <p>drugging 54:10</p> <p>drugs 55:21 56:6 57:3 74:7</p> <p>Drummond 2:9</p> <p>ducks 32:19</p> <p>duration 44:11</p> <p>dwelling 50:2 52:6</p> <p>dying 10:24 71:4</p> <p>< E ></p> <p>earlier 34:18 55:5 72:23</p> <p>early 7:14 10:23 18:22 19:8 24:25</p>	<p>32:9, 23 38:13 40:5 50:6, 8</p> <p>easier 26:15</p> <p>easy 39:14 69:13</p> <p>eat 43:4</p> <p>eating 76:7</p> <p>economy 41:1 65:14 66:9</p> <p>edited 50:10</p> <p>education 74:17 77:12 80:17</p> <p>effect 12:18 14:11 16:11, 12 55:3</p> <p>effective 44:24</p> <p>effectively 41:6</p> <p>elder 34:11</p> <p>elderly 80:13 81:23</p> <p>elders 34:4</p> <p>election 39:20</p> <p>electronic 75:23</p> <p>Eliot 2:4</p> <p>embarrassing 61:9, 25</p> <p>emergency 5:22 43:17 77:8</p> <p>emery 85:4</p> <p>empiric 41:4 48:15 66:6</p> <p>employees 43:18</p> <p>employment 46:6 47:2</p> <p>ended 39:10 71:2, 3</p> <p>endured 55:17</p> <p>ensure 74:6</p> <p>entire 18:4 70:25</p> <p>entirely 40:11 79:5</p> <p>entities 8:14</p> <p>environmental 71:12</p> <p>environments 13:10</p> <p>epidemic 71:4</p> <p>epidemiological 72:2</p> <p>Epidemiology 2:1 4:14</p>	<p>equipment 71:15, 23 74:7 75:6 78:4</p> <p>equity 63:3</p> <p>erect 37:20</p> <p>erected 39:9</p> <p>erecting 26:22</p> <p>especially 20:6 49:22</p> <p>essential 13:13 62:21 77:17 79:18</p> <p>established 73:15 75:9, 10, 18 79:22</p> <p>evaluated 71:20</p> <p>Evaluation 2:2</p> <p>everyone's 20:16</p> <p>evidence 11:1 19:1, 3, 5, 6 26:8 41:4 57:23 60:24 63:14 66:6</p> <p>evidence-based 63:10</p> <p>evidence- informed 63:12</p> <p>exacerbated 55:15</p> <p>exacerbation 60:5 78:10</p> <p>exactly 42:12</p> <p>examined 54:18</p> <p>example 36:13 69:15</p> <p>exclusively 69:5</p> <p>excuse 44:1</p> <p>executed 70:8</p> <p>exemplified 38:12</p> <p>exempt 46:15</p> <p>existing 27:4 31:3</p> <p>expect 51:3 55:23 56:9</p> <p>expectancies 66:16</p> <p>expected 71:22, 24</p> <p>experience 8:16, 17 12:19, 23 13:5 58:14</p> <p>experienced 4:21 71:5</p>	<p>experiencing 13:3 70:9 79:10</p> <p>expertise 32:8 71:14 81:15</p> <p>expired 19:11</p> <p>explain 12:7 17:21</p> <p>explains 18:7</p> <p>explanatory 12:5, 22 14:10, 17</p> <p>explore 37:23</p> <p>exposure 8:8, 22</p> <p>extensive 35:5</p> <p>extensively 7:18</p> <p>extent 5:3 14:3 25:20</p> <p>extra 80:22</p> <p>extraordinary 73:4</p> <p>extreme 59:22</p> <p>extremely 83:4</p> <p>eye 9:12</p> <p>eyes 75:24 76:10</p> <p>< F ></p> <p>face 32:13, 18</p> <p>facing 30:12 35:19</p> <p>fact 11:6 13:15 46:17, 19 48:1 81:20</p> <p>factor 12:22 13:4 19:22 48:16</p> <p>factors 5:1 11:18 14:17 15:20 24:13 57:19, 20</p> <p>fair 48:25</p> <p>Fairview 28:20</p> <p>fall 9:16 15:4 69:18</p> <p>fallacy 41:3</p> <p>falsification 21:5 24:9 55:20</p> <p>families 35:9 50:17 54:17 62:23</p> <p>family 5:21 18:25 19:3 57:24 58:6 59:1 62:19</p>	<p>64:8 75:19</p> <p>fantastic 54:2</p> <p>fared 7:23</p> <p>fatality 10:23 11:2 22:14</p> <p>fears 76:23</p> <p>Feb 54:24</p> <p>February 55:4 56:1</p> <p>feed 67:3</p> <p>feedback 63:22</p> <p>Feel 4:8 48:8 64:25</p> <p>fell 36:1</p> <p>felt 63:24</p> <p>fever 76:4</p> <p>field 28:10 32:7 37:20 47:2</p> <p>fifty-two 22:12</p> <p>figure 31:20 73:21</p> <p>fill 30:18</p> <p>final 77:24 79:14</p> <p>finally 60:8</p> <p>find 29:17 31:22 36:23 48:10 52:9 56:6 62:12 69:23</p> <p>finding 7:14 13:24</p> <p>findings 13:15 14:1, 15 17:16</p> <p>fine 3:23 11:14 16:4</p> <p>Fisman 6:2 7:17</p> <p>Fisman's 43:5</p> <p>flagged 76:8, 9</p> <p>floor 13:21</p> <p>flying 3:12</p> <p>focus 9:8, 12 34:4 40:23 45:23</p> <p>focused 13:19 33:12 40:19 49:16</p> <p>follow 18:13</p> <p>following 38:5 44:3 78:20</p> <p>footage 9:18</p> <p>forced 30:2</p> <p>foregoing 84:6, 14</p>
--	---	---	--	---

<p>foreseeable 25:22, 24 27:20 33:22 36:2, 6, 10 foreseen 33:23 forget 41:18 forgotten 34:14 form 50:9 forms 41:13, 17 formulations 20:20 for-profit 7:22 8:14, 22, 24 9:10, 13, 25 10:6, 15, 17, 21 11:6, 24 12:7, 16 13:16, 22 14:4, 11, 18, 21 15:8, 16 16:5 17:17 18:4, 7 19:23 21:10 45:7 forth 84:8 fortunate 72:16 81:10 forward 55:6 82:13 found 19:3 39:11 76:2 four-bedded 25:9 four-person 20:17 30:24 Frank 1:17 3:2, 9, 14, 17, 22 4:5 11:5, 13 25:18 27:11, 15, 18, 22 29:10, 21 30:1, 5 31:7, 12, 15 33:2, 7, 18 36:19 37:1, 5, 8, 12, 18, 24 38:2 42:11, 14, 17, 20, 24 43:8 47:6, 13 53:21 60:15, 20, 23 61:2, 13, 18, 22 70:3 82:5, 18, 21 83:10, 16 Franklin 2:13 frankly 39:5 FRCPC 1:22 free 4:8 French 59:15 fresh 55:17</p>	<p>67:10, 17 80:24 friend 43:5 frontline 74:5 78:14 frustrated 63:22 frustration 63:16 Fullerton 13:20 full-time 46:3, 6 47:1 fully 27:9 28:25 72:16 functional 35:6 functionally 69:3 fundamental 20:3 40:4 funding 34:19 38:9 furthermore 17:5 future 77:19 < G > gaps 72:4 gender-based 52:13 General 19:18 53:25 56:12, 17 62:19 generally 8:25 56:22 geoboundaries 44:5, 7 Geriatric 70:20 71:17 78:7 geriatrician 4:11 Geriatrics 1:22 4:13 72:17 give 28:5 35:13 76:22 81:12 given 5:15 27:19 giving 57:23 glass 58:15 goals 51:8 73:24 good 4:7 16:19 18:17 34:8 70:4 83:6, 15 Goodbye 83:17 Government 13:21 27:1 33:11 62:10</p>	<p>64:10 65:1 70:12 grandmother 67:5 69:16 graph 23:18 25:4 44:18 52:16 graphically 51:12 Great 65:8 greater 51:5 greenish 45:16 greens 3:20 Grinspun 40:1 ground 80:5 group 6:11 12:15 46:14 groups 5:16 growing 33:5 guess 3:10, 24 18:12, 13 guidance 64:8 < H > half 20:21 half-hour 44:9 Halpern 38:14 hang 74:10 hangers 74:10 happened 25:3, 8, 21 28:19 39:1 66:24 79:1 happening 6:7 29:14, 15 37:13 40:13 57:24 74:13 happy 82:2, 23 hard 42:10 48:23 62:9 hardest-hit 27:8 harm 48:19 harmful 66:15 hate 58:12 heads 6:6 Health 1:23, 24 2:1, 2 4:11, 12 5:12 11:25 12:24 14:7 29:11, 12, 17 39:3 53:25 60:6 67:14 70:14 73:11 74:23 77:16 78:10</p>	<p>healthcare 43:20 46:1 health-human 32:13 heard 30:14 39:24 41:23 46:1, 4, 17, 25 48:6 50:19 54:16 59:13, 23 65:8 66:3 70:7 79:2 hearing 29:25 30:9, 13 54:5, 6 heart 64:12 Held 1:10 help 33:15, 16 37:2 53:9 60:3, 4 70:17 73:13 75:5 82:13 helping 4:19 high 23:9, 13 24:1 52:6 55:22 57:18 high-alert 67:20, 23 high-crowded 22:7 23:2, 3, 19, 22 high-crowding 22:24 23:2 higher 10:15, 16 23:10, 12, 22 24:16 26:14 highest 18:2 24:18 29:6 45:7, 9 49:13 highlighted 40:1 highly 20:7 high-quality 77:10 Hillmer 6:14 hindsight 25:20 26:11 hire 46:5 hired 58:17, 22 history 38:7 home 4:20 8:16 10:25 12:7, 19, 23, 25 13:4, 16, 23 16:4, 5 17:6 18:1, 16 19:11, 13 20:13, 16, 24 21:8, 11, 12, 14, 16 22:3, 6, 8, 18,</p>	<p>25 24:10, 15, 24 26:19 28:14 34:5, 11, 13, 14, 19, 22, 25 36:9, 16 43:20 44:6, 14 46:20 47:17 51:2 52:7, 20 67:5 68:12, 17 69:16 70:8, 11, 24 71:6 72:11, 24 73:6, 20, 21 74:2, 6, 15, 24 75:4, 12 76:2, 13, 17 78:14, 18 79:6 81:1, 11, 15 homes 4:16 5:2 6:1, 23 7:22, 24 8:2, 20, 22, 25 9:2, 8, 13, 14, 17, 23 10:15, 17, 19 11:7 13:2, 7 14:4, 5, 19, 21, 24 15:2, 3, 8, 9, 12, 13, 16, 23 16:13, 16, 23 17:2, 17, 18, 23, 24 18:6, 20, 24 19:5, 16 20:20 22:8, 19, 20, 24 23:2, 3, 4, 8, 9, 11, 13, 15, 16, 17, 20, 23 24:1, 19, 21 25:13, 25 26:1 27:5, 8 28:2, 5, 12 29:4, 19 30:15, 17, 21 32:17 34:7 35:1, 24 38:24 40:14 43:19 44:4, 6, 16, 18, 20, 24 45:2, 3, 7, 8, 11, 18 46:1, 4, 10, 19, 22 47:4 48:4, 22 50:17 53:8, 10 54:13 63:17 64:17 67:11, 19 68:11, 24 69:11, 21 79:5, 9, 13, 17, 24 80:20 81:21 home's 68:5 honestly 59:2 Honourable 1:17 Hospital 1:25 21:18 28:10</p>
---	--	---	--	--

<p>31:5 37:20 49:22 50:12, 14 51:10, 19 52:11, 22, 24 53:4, 19 71:3, 13 72:10, 14, 16, 22 73:5, 7, 22 75:2, 7, 14 76:14, 15 81:6 hospital-based 77:23 hospitalizations 49:19 50:1 hospitalized 51:4, 14 Hospitals 1:24 4:20 30:12, 15 32:7 38:21 39:9 70:12 77:20 hospital's 5:22 hotel 32:3, 4 hotels 28:8 32:11 hotspots 35:17 65:25 hour 44:11, 21 46:12, 22 hours 35:10 71:7 75:22 79:20 house 67:16 households 66:1 huge 6:25 28:11 31:24 53:14 63:16 66:20 70:22 80:11 hugging 58:24 human 67:18 73:11 74:23 humane 67:14 hundreds 35:21 79:25 hydration 81:12 hygiene 65:6 hypodermoclysis 77:1 hypothesized 24:24 hypothetical 17:12</p>	<p>< I > iconic 58:13 ICUS 40:12 idea 20:5 identifiable 38:16 41:11 48:21 identified 38:19 49:1 identify 39:4 74:25 ignited 6:8 illuminate 16:21 imaginative 36:11 imaging 76:21 81:9 85:3 immediate 48:3 49:1 67:11 immediately 5:24 48:20 72:20 impact 5:11 7:1 13:16, 23 21:10, 12 24:22 53:24 impairment 32:1 39:17 impenetrable 13:10 implement 28:15 32:25 36:12 48:9 implemented 17:9 18:14 47:23, 24 69:18 implementing 5:20 58:6 implicated 63:20 imply 37:6 important 5:21 7:8 10:22 12:20, 21 14:14 15:6 19:15 40:22 43:4 45:10, 23 47:21 53:1 58:7 63:4 66:21 67:6 70:8 importantly 24:21 43:21 66:6 importation 7:13 importing 6:22 18:23 19:4 35:18</p>	<p>imposed 59:21 69:8 impossible 28:15 32:24 impractical 65:20 69:22 impressed 83:5 improve 79:19 improving 79:16 incidence 6:17 12:1, 24 14:6 21:2 23:11 24:17, 20 29:6 incidences 30:21 incidents 24:3, 5 include 50:10 51:9 including 8:2 increase 7:6 24:16 56:12 increased 24:2 35:2, 3 48:7 54:7 56:17 80:8 increases 57:22 increasing 30:12 indefinite 66:14 indefinitely 67:23 68:8 independent 69:4 independently 51:7 index 20:11, 15, 18, 23 21:7, 20 22:2, 4, 6, 7, 9 23:10 25:6 individuals 44:12 65:11 70:22 indoors 67:16 ineffective 66:5 inequities 42:5, 6 infect 26:14 infected 8:19 23:1, 14 71:1 infection 6:19 7:9 20:3 21:3 22:13, 17 24:6, 7 26:6 63:2 66:23 68:22 71:19 72:18 infections 22:19</p>	<p>infectious 20:8 26:9 inferior 9:1 influence 11:8 influenced 21:7 informed 30:20 infrastructure 73:16 75:18 78:25 in-home 76:20 initiatives 34:15 innovation 59:1 inquiry 40:2 insane 61:6 insights 5:5 Institute 2:1, 3 insufficient 35:10 intense 8:6 intensifies 5:25 intensity 5:7 52:18 53:15 interaction 55:16 interest 8:16 12:3 interesting 37:22 56:7 Interestingly 51:20 57:7 interim 5:4, 14 58:8 Internal 1:22 19:19 international 11:1 62:12 internationally 64:5 interrupt 4:1, 8 30:3 intersecting 41:13 intervals 12:12 interventions 78:17 intravenous 77:2 intrinsic 12:6 introduce 24:23 introducing 21:8 introduction 24:8 invested 36:16 investigate</p>	<p>49:24 investing 34:22 involved 4:15, 18 5:20 7:13, 16 58:5 63:15 70:24, 25 79:13 IPAC 13:11 70:14 71:19, 25 72:4 73:10 74:16 77:11 78:16, 19 iPads 73:17 75:17 isolate 26:20 28:5, 17 73:1 isolating 68:7 74:23 isolation 55:16 60:11 63:1 issue 7:21 18:24 36:7 40:20, 25 issued 27:2 issues 41:22 Italy 38:21 39:1 40:12 Item 49:6, 7 < J > Jack 1:19 15:19, 23 16:3, 10, 15 18:12 33:19, 20 36:18 47:15 48:5 49:4 83:3, 14, 21 JAMA 6:5 19:19 85:1 JAMDA 59:13 Janet 2:21 84:3, 24 January 54:24 56:1 Jessica 2:13 28:21 job 54:2 John 65:18 join 75:20 Journal 7:21 19:19 70:19 journals 59:14 July 37:16 51:25 62:17 June 26:24 30:25 37:15, 16</p>
---	---	---	---	---

<p>51:24 jurisdiction 41:5 jurisdictions 11:3 28:7 29:3 32:6, 10 34:20 47:24 Justice 12:5 30:4</p> <p>< K > keeping 34:4, 11 Kitts 1:19 3:3 15:19, 23 16:3, 10, 15 18:12 33:20 36:18 47:15 48:5 49:4 82:25 83:3, 14, 21 knew 6:21 22:11, 13 40:16 44:10 knowledge 20:4 35:24 known 5:7 8:24 33:14 34:6, 8 36:5 48:2 52:13 55:13, 14 56:16, 21 57:19</p> <p>< L > lab 81:9 laboratory 42:5 76:21 labour 66:2 lack 50:6 55:16 68:9 lag 7:10 lagged 6:20 laid 62:15 75:24 76:10 lame 32:19 large 10:1 14:5, 18 15:21 17:7 19:18 52:17 53:2 larger 15:15 28:14 lasted 44:21 late 47:23 Lead 1:17 2:13, 15 4:19 21:15 47:4 48:19 73:14</p>	<p>leadership 72:14 80:19, 24, 25 leading 46:6 59:14 leads 26:10 leaked 50:7 Learned 4:23 27:7 80:14 learnings 5:23 leave 77:20 leaving 32:17, 19 62:20 led 26:23 62:11 71:18 left 35:25 42:7 50:24, 25 56:8 Lessons 4:23 lethality 5:3 Lett 2:16 letter 59:13 letters 50:17 level 22:22 24:19, 20 74:14 levels 16:24 30:19 31:6 50:11 58:20, 21 life 53:6 65:5, 13 66:16 68:3 light 31:2 limit 30:25 70:11 limitation 30:11 limited 46:11 51:25 66:16 limiting 43:15, 16 limits 34:24 35:7 64:16 linear 56:9, 11 lines 45:19 listening 82:3, 11 literally 81:13 literature 19:2 52:10 72:7 live 39:15 41:19 51:7 65:5, 13, 23, 24, 25 69:2 lives 38:16, 18 39:4, 5 41:11 67:5 81:14 living 35:17</p>	<p>local 32:8 location 43:25 locations 29:9 46:13 47:10 lockdown 54:12 59:20 loneliness 60:11 long 34:2, 9 37:19 40:20 longer 14:12 26:25 36:11 60:9 67:10 69:15 longest 49:7 longingly 59:6 LONG-TERM 1:3 2:10, 11, 13, 16 4:16, 20 5:2, 8, 13 6:1, 8, 18 7:4, 9, 22 8:2, 3, 4, 9, 11 18:1 21:22 25:13 33:25 34:6, 22 35:1, 12 36:7, 15 38:24 39:7, 12, 14, 16 40:13, 23 41:12, 19 42:3 43:18, 19 48:13 49:14, 19 50:2 51:5, 8, 20 53:25 54:19 56:14 57:9 58:14 59:14, 21 61:12 65:21, 23 66:11 68:23 69:10 70:1 76:5 77:19 79:6, 15, 16, 24 80:11, 14 81:24 looked 6:10, 11, 12, 16, 19 7:21 8:6, 8, 15, 21 12:6 19:20, 21, 23, 24 20:9 21:1 43:14, 25 44:4, 12, 15 49:24 54:22 55:5, 6, 20, 25 56:1, 3 57:11 64:3, 4 looking 11:17 25:19 59:6 74:12 loophole 45:24</p>	<p>46:18 48:2 loosened 52:22 lopsided 38:18 39:11 lost 40:22 68:25 lot 11:8 17:22 20:5 39:19 54:3 58:3 60:16, 24 62:15 72:7 73:24 75:5, 15 76:22 77:1 80:11 82:1 loved 60:8 61:21 64:1 67:3, 16 low 22:6 23:9 24:1 34:19 52:7 low-crowded 23:4, 15, 17, 23 24:21 low-crowding 22:24 lower 16:23 23:21 52:7 69:11 low-flow 76:23 LTCC 2:15 lucky 81:10</p> <p>< M > made 33:11 39:3 50:15 52:23 58:9 64:12 73:4 75:25 76:8, 11 84:10 Mahtani 77:5 maintenance 80:10, 16 major 18:11 majority 15:15 39:15 41:19, 20, 25 making 31:4 malnutrition 59:25 60:1 Management 2:2 65:2 72:8 73:23 74:2 76:18 80:2 81:25 managing 69:23 manner 6:20 map 70:15</p>	<p>March 6:7 50:22 51:11, 17, 21 52:19 55:1, 5 56:3 marked 45:20 Marrocco 1:17 3:2, 9, 14, 17, 22 4:5 11:5, 13 12:5 25:18 27:11, 15, 18, 22 29:10, 21 30:1, 5 31:7, 12, 15 33:2, 7, 18 36:19 37:1, 5, 8, 12, 18, 24 38:2 42:11, 14, 17, 20, 24 43:8 47:6, 13 53:21 60:15, 20, 23 61:2, 13, 18, 22 70:3 82:5, 18, 21 83:10, 16 masked 69:12 masking 7:12 Massachusetts 32:10 matter 39:2 40:17 MD 1:22 meals 68:8 meaning 12:18 23:13 means 57:13 meant 61:21 measure 20:24 measures 13:11 32:5, 25 65:6 67:14 68:23 69:7 77:16 mechanism 63:22 media 9:5 19:9 49:20 63:25 Medical 7:20 19:19 53:5 57:15 60:6 73:23 74:1, 7 75:7, 23 76:18 79:3, 16, 19 80:7, 17, 18, 19, 21, 23 81:1, 9, 19 medication 54:8 57:12</p>
--	---	--	--	---

<p>medications 55:7, 9 57:14, 23 78:1, 2, 5, 6</p> <p>Medicine 1:23 19:19 71:17</p> <p>meet 9:16 10:18 15:4</p> <p>MEETING 1:3</p> <p>members 57:24</p> <p>Memorandum 65:18</p> <p>men 52:10, 13, 14</p> <p>mental 60:6 78:10</p> <p>met 36:1</p> <p>metformin 55:21 57:7, 15</p> <p>Michael 6:14</p> <p>microscope 42:4</p> <p>mid-April 70:25</p> <p>middle 20:6 33:23</p> <p>military 38:23</p> <p>mine 61:14</p> <p>minimal 60:4</p> <p>Minister 2:9 13:20</p> <p>Ministry 7:25 8:3, 6</p> <p>mistaken 42:18</p> <p>mitigate 62:13</p> <p>mitigation 28:15 32:25</p> <p>mobile 43:24 44:13</p> <p>mobility 17:1 18:20 43:13 44:15 45:12 47:4</p> <p>Model 11:23, 24 12:3, 4, 6, 11 14:9, 12 79:3 81:19</p> <p>modelling 4:17 8:1 10:4, 5 11:11 23:5, 24</p> <p>models 11:22</p> <p>modes 77:13</p> <p>monotonically 24:16</p> <p>month 65:9</p> <p>monthly 54:18</p>	<p>months 33:17 37:14, 17 59:21 77:7</p> <p>Montréal 59:6</p> <p>mortality 21:3 23:12 24:3, 7, 17, 20</p> <p>motivated 20:2 50:6</p> <p>motivation 8:23 9:3 15:7</p> <p>motivations 43:16</p> <p>Mount 73:7 76:15</p> <p>move 14:8 18:10 28:2, 16 29:18 30:23 74:21</p> <p>moved 67:24 74:19</p> <p>moving 29:8 34:12</p> <p>multi-generational 66:1</p> <p>multi-occupancy 26:23 27:9 28:24</p> <p>multi-phase 5:22</p> <p>multiple 26:2, 7, 9 47:10 60:5 61:8 79:9</p> <p>municipal 7:24 8:14 9:11, 14 14:22 45:8</p> <p>mute 33:19</p> <p>myopic 40:19</p> <p>< N ></p> <p>narrative 9:4</p> <p>Nathan 1:22 3:5, 12, 16, 19 4:4, 7 11:10, 16 15:22 16:2, 8, 14, 19 18:17 25:23 27:14, 17, 21, 25 29:20, 24 30:3, 8 31:11, 14, 24 33:6, 10 34:16 36:25 37:3, 7, 11, 14, 21 38:1, 11 42:13, 16, 19, 22</p>	<p>43:3, 10 47:11, 19 48:11 49:5 53:23 60:19, 22 61:1, 4, 15, 20, 24 70:5 82:15, 23 83:8, 13, 18, 22</p> <p>national 10:1 17:7</p> <p>near 21:23</p> <p>nearly 23:13, 16 25:10</p> <p>neat 45:14</p> <p>necessarily 39:17 68:20 80:23</p> <p>necessary 32:13 78:20</p> <p>necessitate 32:15 78:3</p> <p>necessity 80:1</p> <p>needed 26:3 60:3 70:16</p> <p>needle 77:3</p> <p>needs 71:16, 24 81:17</p> <p>NEESONS 84:23</p> <p>negative 21:5 24:8, 21</p> <p>neglect 39:15</p> <p>neglected 42:8</p> <p>Network 1:24 4:12 6:5 45:4, 14 85:1</p> <p>networks 45:15</p> <p>new 25:14, 24 30:9 33:12 36:14 38:20 48:22 59:9</p> <p>news 38:22 50:15 54:16 59:23</p> <p>non-for 12:15</p> <p>non-for-profit 45:7</p> <p>non-profit 7:24 9:2, 10, 14 10:2 12:16, 17 14:5, 21 15:12 16:9 17:18</p> <p>non-significant 12:13</p> <p>noon 42:10</p> <p>normal 74:10</p> <p>note 45:10</p>	<p>noted 56:24 59:15</p> <p>notes 50:25 84:15</p> <p>not-for-profit 8:14</p> <p>notice 10:12</p> <p>noticed 51:11</p> <p>notion 31:18</p> <p>November 1:11 84:18</p> <p>nuance 67:13 68:4, 10</p> <p>nuanced 66:22</p> <p>number 8:18, 19, 25 12:11 14:9 17:15 20:12 29:12 33:4, 13 45:1 52:20 53:17</p> <p>numbers 51:25</p> <p>Nurse 40:2 73:15 75:3</p> <p>nurses 72:15</p> <p>nursing 8:11 51:2 52:7, 20 73:21 78:14</p> <p>nursing-home 78:24</p> <p>< O ></p> <p>observation 17:14</p> <p>obvious 82:12</p> <p>occupancy 9:23 23:4 26:3 31:3</p> <p>occupational 70:14 77:16</p> <p>occupied 27:10 28:25</p> <p>occurred 22:19</p> <p>o'clock 43:6</p> <p>October 31:2 50:22, 23 52:1</p> <p>odds 11:20 12:10</p> <p>OECD 34:20</p> <p>offered 52:14</p> <p>official 49:18</p> <p>officially 50:8 53:18</p> <p>old 15:2 16:13</p> <p>older 9:14, 17, 20 11:7 14:16, 24 15:2, 3, 14</p>	<p>17:20 18:6 19:24 26:2 38:10 41:1 66:10 79:7</p> <p>OLTCA 30:14, 20</p> <p>onboard 72:16</p> <p>one-month 75:4</p> <p>ones 9:25 10:8, 13 14:25 29:5 45:15, 16 57:16 64:1 67:3</p> <p>one's 67:16</p> <p>one-size-fits-all 17:10</p> <p>ongoing 64:23</p> <p>onset 21:21 28:11 49:23</p> <p>onsite 77:12</p> <p>Ontario 5:2, 8, 16, 25 53:25 55:1 65:21</p> <p>Open 6:5 66:9 85:1, 2</p> <p>operated 8:13</p> <p>operational 70:15</p> <p>Operations 2:11, 15 4:19 32:15 72:13 73:8</p> <p>opinion 29:15</p> <p>orange 14:22, 23, 24 17:23</p> <p>order 29:23 30:7 43:17 44:2, 19 45:11, 21 46:2, 15 70:11</p> <p>original 19:12 30:24</p> <p>outbreak 4:21 8:17, 18 11:20, 21 12:10, 20, 23 13:5, 17, 24 14:2, 3 15:24 18:21 19:9 23:19, 21 28:13 33:1 45:16, 17 53:13 64:17 68:5, 12 70:9 71:5 72:1 77:21 78:21 80:2 81:24</p> <p>outbreaks 5:2, 3 8:7, 20 13:3,</p>
---	---	---	---	---

<p>14 14:4, 18 15:11, 15, 21 16:6 17:17, 24 18:9 23:8, 16 26:9 28:19 41:7 47:8 63:20 79:10 80:9 outcome 11:20 13:19 21:6 outcomes 8:4, 15 9:1 15:12 18:8 20:10 22:21 24:5 26:10 57:20 65:12 69:2, 6 outdated 35:16 outdoor 69:10, 22 outdoors 69:11, 17 outside 39:9 67:10 overhead 21:17 overrun 38:21 oversee 78:18 overwhelm 52:24 overwhelmed 53:11 79:11 81:22 ownership 14:16 15:1, 5 16:13, 16, 17, 23 17:2, 21 19:25 ownerships 15:14 18:6 oxygen 74:11, 13 76:22, 23</p> <p>< P > p.m 1:12 83:23 paint 18:4 Palin 2:11 palliative 53:9 71:18 72:17 73:14, 23 74:2 76:19 77:10 pandemic 4:15 5:9, 12 7:7 8:24 16:22 20:7, 25 21:21 25:13 28:11 33:16 38:13, 14, 17 49:16, 23</p>	<p>50:3 53:17, 24 55:15, 18, 24 56:4, 19 60:11 65:2 75:25 81:12, 25 pandemics 80:8 paper 79:15 Park 28:22 part 51:6 58:3 66:6 70:5 72:1 79:12 participants 1:10 2:7 particularly 29:4 80:7 partnership 4:20 partnerships 52:22 parts 41:8 patients 35:8 39:6 patios 69:25 patterns 18:20 pause 25:16 pay 35:21 Peel 67:21 Pennsylvania 38:15 People 13:2 20:5 29:8 31:23 33:15, 16 34:25 35:7, 11 36:23 38:10, 20 39:7, 15, 21 41:2, 12, 19, 20, 25 42:1 46:9, 14 48:14 50:21 51:8, 13, 22 53:4, 6, 8 54:10 55:12, 17 57:15 58:11, 19, 20 60:2 63:7, 22, 24 65:12 66:15 67:2, 4, 10 68:3, 6, 7, 8 69:2, 12, 21, 24 70:1 71:3, 8 73:1 75:12 78:2 80:11 81:14 people's 6:5 28:2 percentage 10:16 period 8:7 21:2 26:4 33:8</p>	<p>36:12 43:21 44:14, 22 46:21 47:12 54:22 55:4 56:2, 4 57:6 77:6 periods 44:9, 16 permission 4:1 permits 45:24 permitted 26:25 person 62:5 personal 8:12 71:22 75:6 personally 68:19 persons 46:10 pertain 27:4 pertinent 13:1 pharmacist 77:25 pharmacists 74:6 pharmacy 72:18 PhD 1:25 4:14 phenomenal 78:8 Phillipson 2:4 photo 58:13, 23 59:4 photos 58:10 phrase 29:18 physical 55:16 80:1 physician 46:16 73:14 79:15, 23 physicians 46:15 59:15 75:19 78:24 79:7, 8, 23 81:1, 20 pick 69:19 pinged 44:7 pithiest 49:8 place 64:16 67:22 84:7 plague 41:14 planning 73:25 play 9:4 playing 26:17 pleased 5:13 plot 17:22 plots 45:14 plotted 14:20 72:2 point 19:7, 13 31:20 48:25</p>	<p>policies 63:3, 11 64:5, 19 67:8 Policy 2:2, 13, 16 7:11 17:8 18:14, 24 30:10 38:9 39:3 44:23 45:24 46:19 47:22 48:2, 8, 9 49:18 64:12 66:19 67:1 political 39:23 population 7:4, 5 12:2 41:6 52:8 populations 65:4 positive 64:21 possibility 57:17 possible 46:16 posted 82:17 post-pandemic 56:4 power 39:23 PPE 71:21 73:11 practice 4:12 34:2 79:22 practices 17:8 79:24 pre 24:8 56:1 pre-pandemic 21:22 25:8 52:12 54:22 55:4 56:2, 24 preparation 82:11 prescribed 57:12 prescribing 54:7 56:18, 23 57:6, 22 prescription 56:20 presence 5:21 58:7 59:1 80:1 PRESENT 2:19 4:10 62:10 presentation 62:7, 8 83:6 PRESENTER 1:21 prespecified 21:4 24:9</p>	<p>pressure 30:12 31:9 57:18 pressures 31:4 pressuring 30:15, 17 prevalence 15:25 prevent 13:8, 13 47:8 66:9 preventing 63:2 prevention 20:4 26:6 66:23 68:22 71:19 72:18 primary 8:21 12:3 principles 62:15 prior 51:14 81:12 priori 10:11 21:6 priorities 40:17 prioritize 39:3 prioritized 38:18 private 69:5 problem 30:7 61:3 procedures 78:19 proceedings 84:6 process 63:23 81:18 profit 8:8 9:8 12:10, 18 Program 2:5 8:11 progressive 64:19 promise 49:7 promote 47:1 68:2 promoting 5:20 58:6 prone 9:22 properly 32:25 36:15 41:24 74:22 properties 55:10 proponent 65:10 proportion 17:25 49:13 51:13 54:18 57:2, 11</p>
---	--	--	---	--

<p>proportions 56:13</p> <p>protect 65:15</p> <p>protecting 41:1</p> <p>protective 71:23 75:6</p> <p>provide 5:5 54:13 70:13 81:21</p> <p>provided 5:8 52:19 74:1, 3, 18 76:19, 20 77:10 78:13, 16</p> <p>providing 78:8</p> <p>Province 7:1 21:21 22:3, 9 25:12 29:11 37:16 44:20 46:20 50:22 59:25 62:11 65:20</p> <p>province's 22:18</p> <p>provincial 4:17</p> <p>provision 73:16</p> <p>PSWs 75:3</p> <p>psychiatric 74:3</p> <p>psychiatry 72:18 78:7</p> <p>psychoactive 55:9</p> <p>psychological 55:13 60:12</p> <p>psychosocial 74:4</p> <p>psychotropic 54:8 55:7 57:22</p> <p>psychotropics 54:20</p> <p>public 12:24 38:9 39:3 44:23 45:24 47:22 67:14</p> <p>publicly 82:17</p> <p>publicly-funded 8:10</p> <p>publish 4:3</p> <p>published 6:5 7:20 19:18</p> <p>pursue 65:1</p> <p>purview 68:21</p> <p>put 6:6 11:23 32:3, 25 77:3 79:17 83:11</p> <p>putting 6:21</p>	<p>77:2</p> <p>P-value 10:7</p> <p>< Q ></p> <p>quadruple 9:23 22:1 23:4</p> <p>quality 63:3 68:3</p> <p>quarterly 81:2</p> <p>Queen's 28:22</p> <p>question 12:4 16:19 18:17 38:5 39:14</p> <p>questions 4:2 18:10 25:17 47:5 53:20 57:25 70:2 82:2, 7, 8</p> <p>quicker 26:15 58:4</p> <p>quiet 33:4</p> <p>quite 17:16 22:20 34:18 43:4 44:24 45:17, 22</p> <p>< R ></p> <p>rage 41:8</p> <p>raises 53:15</p> <p>Ramona 77:5</p> <p>ranked 23:8</p> <p>rapid 19:1, 2 72:3</p> <p>rapidly 73:19</p> <p>rate 6:17 10:15, 23 11:2 22:14 23:12 26:14 51:5 71:22</p> <p>rates 52:4</p> <p>ratio 6:17 48:9 49:3</p> <p>rationalize 34:10</p> <p>reached 28:23 71:8</p> <p>read 38:22 50:19</p> <p>reading 49:10</p> <p>readmit 30:17</p> <p>ready 4:6</p> <p>real 42:4, 7 43:1 51:17 76:11 80:15, 16</p> <p>reality 18:5 27:20 36:20</p> <p>realized 72:24</p>	<p>really 6:4, 7, 8, 25 7:11 8:6 10:22 11:8 12:21, 25 13:13 20:3 21:1 26:17 29:22 35:11 36:20 37:15 39:21 40:17 52:17, 20 56:18 59:7, 22 60:14 61:5 62:9, 10, 12, 18 63:13, 21 64:3, 7, 10, 13 66:4, 14, 20, 21 69:7, 9 70:16 71:5 72:15 73:9 74:16 77:17 81:4 82:2</p> <p>reappropriated 32:11</p> <p>reason 16:21 21:11</p> <p>reasonable 79:22</p> <p>reasonably 15:10</p> <p>reasons 13:8 17:13 18:8 46:8 53:17 67:6</p> <p>rebuilding 33:12</p> <p>rebuilt 35:24</p> <p>receive 50:14</p> <p>recognized 60:9</p> <p>recognizing 7:12 63:4</p> <p>recommendation s 5:4, 14 31:19 58:9 64:11, 14 79:17</p> <p>record 75:23</p> <p>recorded 84:11</p> <p>red 45:15</p> <p>redevelopment 36:5</p> <p>reduce 34:21 47:3 48:4</p> <p>reduction 44:25 45:5, 20 47:20</p> <p>reference 12:15</p> <p>referred 34:13</p> <p>refills 57:14</p> <p>reflect 80:8</p> <p>reflected 39:24</p> <p>reflects 68:9</p>	<p>region 11:25 12:25 14:7</p> <p>regional 30:16</p> <p>regular 63:9</p> <p>rehydrate 81:13</p> <p>rehydrated 77:4</p> <p>rehydrating 81:14</p> <p>re-implemented 69:14</p> <p>reinventing 36:7</p> <p>relates 81:25</p> <p>relationship 25:5</p> <p>relatively 33:4 50:24 51:14, 16 52:5</p> <p>released 50:8</p> <p>relevant 5:24</p> <p>reliance 47:3</p> <p>rely 80:2</p> <p>remain 76:13, 14, 17</p> <p>remainder 72:5</p> <p>remained 45:9</p> <p>remarkably 34:21</p> <p>remarks 84:10</p> <p>remember 18:14</p> <p>remotely 1:11</p> <p>remunerated 41:25</p> <p>remuneration 80:6</p> <p>reopen 63:17</p> <p>re-opening 40:21 59:7</p> <p>report 59:23</p> <p>reported 19:9 54:17</p> <p>Reporter 84:4, 25</p> <p>REPORTER'S 84:1</p> <p>reports 38:23 39:25 49:20</p> <p>reputation 3:6</p> <p>require 25:14 32:12 60:4 65:22</p> <p>required 17:11 36:10 80:7, 9</p> <p>Research 2:1, 3 4:15, 23 5:10 16:22</p>	<p>resident 6:20 10:16, 19 21:24 24:14 30:22 49:21 59:24 62:22 74:22</p> <p>residents 5:8, 13, 16 6:18 7:10 8:5, 10, 19 10:24 17:25 20:12 22:12, 25 23:1, 14 26:1, 7, 10, 14, 16, 20 27:1, 5 28:6, 17, 25 30:11, 15 32:2, 23 35:15 38:25 49:14, 19 50:11 51:1, 2, 4, 5, 21 52:20 54:1, 15, 19 56:14, 15 57:2, 9, 11 58:14 59:21 61:12 63:6 65:21 71:1 72:5, 21 73:3, 6, 21 74:4, 19 75:11, 21, 24 76:5, 9 77:4 78:8 79:21, 25</p> <p>residual 45:8 46:7, 9 48:1</p> <p>resources 32:13 36:8, 16 73:11 74:24</p> <p>respect 65:2</p> <p>respond 77:7</p> <p>responding 54:14</p> <p>response 5:23 38:17 39:11 49:16 61:11 70:8 72:8</p> <p>responses 39:4</p> <p>responsible 79:25</p> <p>responsive 55:12 60:10 78:11</p> <p>rest 42:25 59:7 65:5</p> <p>restaurants 69:25</p> <p>restraints 54:15</p> <p>restricted 43:18</p> <p>restricting 62:24</p>
--	---	--	---	---

restrictions 55:1
retained 27:5
retention 47:2
retired 36:23
retirement 67:5
 68:24 69:16
reviewed 5:4,
 14 71:25
reviews 19:2
rid 78:6
right-hand 10:7
risk 5:1 6:17
 7:6 13:4 24:2,
 16 48:7, 16
 57:19, 20 62:25
 69:12
risk-benefit
 48:6, 9 49:3
risks 31:21
 48:4
road 70:15
Rokosh 2:11
role 8:1 54:3
 80:7, 19, 21, 23
 81:2
room 9:19 20:5,
 12, 14, 17 26:1,
 7, 10, 16 29:1
 30:11, 22, 24
 74:20 77:14
rooms 20:21
 22:1, 4 25:9, 15
 26:3, 23, 25
 27:9 28:24
 32:24 68:1, 6, 8
 69:5 72:25
 74:19
rotate 80:20, 24
 81:1
rotation 80:22
rounds 75:20
rows 10:8
RPNs 75:3
rulings 61:8, 17,
 19

< S >
sad 68:19
sadly 5:24
safe 32:2 34:4,
 12
safety 32:5
saline 74:10
Samir 62:4

Sanjay 2:15
save 81:14
saved 53:6
scan 71:13
scary 3:8
scheduled 42:15
schools 40:20,
 21
Scott 38:14
screening 76:3
searching 29:17
secondary 26:13
Secretariat 2:10,
 12, 14, 17
sector 6:9 10:2,
 6, 13 18:4, 7
 39:15 42:3
 48:13
secure 73:17
 74:12 81:8
sedating 55:10
segment 65:3
 66:13
semiprivate
 20:22
send 73:5 81:5
senior 72:14
 73:15
sense 54:11
separate 64:7
 68:13
September
 37:17 54:21
 56:3 64:13
seriously 37:23
serve 68:8
service 3:20
services 36:17
 76:21 79:16, 19
 81:9 85:3, 4
set 9:16 10:10
 32:4, 7 75:13
 84:7
setting 41:7
 43:20, 21 77:14
settings 35:15
 46:1
severe 4:21
 71:5
share 71:9
 82:16
shared 17:2
sharing 20:5
sharp 56:18

57:1, 8, 21
sheets 26:22
shield 41:3, 6
 65:4, 11
shielding 65:10,
 19, 21, 22
shifting 36:8
shortage 48:7
shortages
 71:20, 24
Shorthand 84:4,
 15, 25
short-term
 66:25 67:12
show 11:1, 11,
 19 13:9 16:25
 17:15 18:18
 19:14 32:22
 42:9 44:18
 45:13 51:12
 58:23 70:23
 72:12 73:19
 74:1, 20 75:25
showed 6:24
 7:2 13:22 21:9
 22:14 24:22
 58:10 72:1, 3
 75:10 78:9
showing 17:25
 23:25 48:15, 17
shown 8:25
 14:20 23:18
 32:20 52:12
 76:24
shows 14:15
 16:22 25:4
 47:20 52:16
shut 65:14
 66:25 67:24
 68:15
sick 35:20 66:1
 74:25 76:10
side 10:7
significance
 10:10, 18
significant 10:9,
 14 12:18 14:13
 33:5
significantly
 24:2 48:7
similar 17:16,
 22 24:17
similarly 15:11
 23:15 45:1

56:20 67:19
 68:11
simple 20:9
 26:22 32:3
simply 80:20
simulated 25:2
simulation 25:7
Sinai 1:23 4:11
 73:7 76:15, 22
sincerely 82:3
single 20:21
 21:25 22:4, 5
single-work 7:11
Sinha 62:4
sit 4:16
site 43:16
 47:17
sites 75:15
situated 29:6
situations 77:8
six-day 7:10
size 8:18 12:2
 14:3 16:6
 23:19, 21
skewed 49:16
slated 36:5
slide 11:19
 72:2
slides 79:4
 82:16
slightly 9:1
small 52:20
 56:13
smaller 9:18, 19
 10:2 17:10
Smith 28:21
smooth 76:16
Snow 65:18
social 42:5, 6
 55:16 60:11
 62:25 67:6, 25
 68:2, 16
socialize 68:9
socially 41:16
society 41:8
 42:6 65:5 70:20
solely 80:3
solitary 60:18
 61:3, 10
solo 3:12
solution 17:10
solutions 17:11
 69:24
somebody 81:5

someone's
 59:11
Sorry 3:19
 21:17 23:22
 30:3 61:15, 17,
 20, 21 64:7 66:5
sort 6:21 17:7,
 10 20:23, 24
 58:2, 12 59:5
 68:25 75:25
sorts 26:8
sounds 3:7 4:7
source 63:16
sources 8:5
 16:20
space 26:19
 28:4, 8, 16 31:4,
 10, 22 32:4
 36:21 80:4
spaces 29:9
 32:2, 11
Spanish 38:23
speak 4:22
 5:19 10:5 13:9
 26:12 40:24
 58:1 77:23 79:4
speaking 6:3
 15:7 72:23
 76:12
speaks 72:22
specialist 75:4
specialized
 70:13
specifically
 13:19, 22 30:16
 49:2, 11 58:5
 66:11 70:17
 81:23
spelled 64:3
spend 46:12
spends 46:21
spirit 50:13
split 81:2
spoke 7:17
 49:12 65:24
spoken 6:13
 60:7 63:11
spread 15:25
spurned 7:11
square 9:18
St 32:8
stabilizing 78:16
stable 51:16
 52:6

<p>staff 6:19, 22 7:9, 12 18:16, 22 19:15 35:16 43:22, 23 45:25 46:3, 5, 23 47:3, 9, 10, 16, 18 48:8, 10, 18, 24 68:14 70:11 74:5, 24 77:23 78:14, 24 81:11, 15 staffing 16:24 17:1 31:22 32:14 70:14 71:14, 20 73:2 75:5 Stall 1:22 3:3, 5, 12, 16, 19 4:4, 7 11:10, 16 15:22 16:2, 8, 14, 19 18:17 25:23 27:14, 17, 21, 25 29:20, 24 30:3, 8 31:11, 14, 24 33:6, 10, 21 34:16 36:25 37:3, 7, 11, 14, 21 38:1, 11 42:13, 16, 19, 22 43:3, 10 47:11, 19 48:11, 23 49:5 53:23 60:19, 22 61:1, 4, 15, 20, 24 70:5 82:15, 23 83:7, 8, 13, 18, 22 standard 25:24 26:2 standardized 81:18 standards 9:15, 18 14:16, 25 15:3, 14 17:20 19:24 36:1 Star 28:22 start 56:2, 19 68:6 started 69:19 starting 81:13 stat 76:20 State 18:22 stating 13:21 statins 55:22 57:7, 16 stations 77:15</p>	<p>statistical 10:4, 10, 18 23:24 statistics 11:9 status 8:8, 22 9:9 10:21 11:24 12:10, 19 13:16, 23 14:12 16:6 19:24 21:10 33:25 67:20, 23 Stenographer/Tra nscriptionist 2:21 stenographically 84:11 stipend 80:22 81:3 stockpile 71:21 stop 15:17 42:10 stopped 66:25 69:10 81:21 strategies 28:16 67:22 strategy 65:10 streamlined 78:1, 5 strike 37:9 strong 34:3 47:20 strongest 13:3 strongly 49:22 50:17 structure 71:11 struggling 81:8 studies 49:6 55:19 study 6:4 7:16, 19 8:23 10:10 13:22 15:7 18:11, 18 19:23 22:15 24:13 25:16 47:5 subcutaneous 81:12 subcutaneously 77:3 subsequent 27:7 subsequently 31:1 subsidized 8:12 substitute 62:22 76:12</p>	<p>successful 47:22, 25 suggest 60:24 63:14 suggested 49:21 50:10 suggesting 47:7 suggestion 29:2 summer 33:11 40:19 62:9 summertime 27:6 59:8 supplies 71:15 74:8 75:7 81:10 supply 71:21 73:11 74:15 support 8:12 62:21 70:15 73:5 74:3, 4 78:8, 13 supporting 47:17 suppose 42:25 supposed 59:1 suppressing 13:12 66:8 Supreme 61:8 surge 50:11 51:17 surging 13:1 32:18 surprise 22:10 56:16 surrounding 12:25 13:7 susceptible 15:24 66:17 suspend 67:25 SWAT 70:13 sworn 62:7 symptoms 55:14 76:4, 6 syndrome 59:12, 20 66:18 System 1:23 4:12 21:23 28:4 30:11 31:5 33:13 34:22 36:8 40:3 51:19 52:22, 25 systems 9:20 34:23</p>	<p>table 4:17 8:1 44:17 tailored-to-the- home 17:11 talk 4:23, 25 7:19 53:12 58:3, 4 talked 33:22 43:15 49:15 77:13 80:6 82:1 talking 7:15 35:8 36:4 talks 58:24 tanks 74:11 76:22 target 48:3 49:2 taxied 76:21 Team 2:15 32:15 70:22 71:17 72:6, 9, 12, 13 73:9, 10, 11, 12, 13 74:24 75:10 78:7 teams 70:13 technical 52:18 technology 73:18 temporal 49:25 53:14 temporarily 29:8 temporary 28:4 31:10 43:22 45:25 46:5 47:3, 9, 10 tend 15:16 16:23 tended 10:19 tends 15:13 tents 39:9 term 59:11 61:5 terminal 77:14 terms 9:9 15:10 22:25 23:19 40:8, 12 48:20 61:7 64:14 tested 75:11 testify 62:5, 6 testimony 5:15 49:20 50:19 59:12 testing 72:4 thanked 82:10</p>	<p>thanks 4:7 82:15, 19 83:17, 21 thing 9:12 11:23 26:12 31:8 34:8 37:22 52:3 66:21 69:13 72:22 79:14 80:11 things 4:25 6:11, 24 7:11 9:9 12:6, 8 14:6, 9 21:1 26:16, 21 28:1, 3 33:4 39:8, 24 40:1, 2 42:7, 8 48:21 50:4 54:4, 6, 7, 8, 16 55:14 57:23 62:2 64:6, 9, 21 67:9, 24 68:2, 18 69:24 72:7, 20 76:1 77:24 78:9, 12, 20 79:1 81:4, 7 thinking 36:11 third 12:4 14:12 thoroughfares 9:19 thought 61:20 76:10 thoughts 38:8 thousand 25:10 thousands 35:22 time 15:17 21:2 33:8 34:3, 9 36:12 38:19, 22 40:9, 20 43:25 44:16 54:24 57:6, 25 58:21 59:3 64:16 76:11 77:7 79:20 80:3 82:2, 14 84:7, 10 timely 81:9 times 21:22 30:13 78:2, 4 titled 4:23 today 3:20 6:4 82:4 told 34:17</p>
---	---	--	--	---

< T >

<p>63:13 70:16 tolerate 54:12 ton 77:4 tool 76:1, 8 tools 6:14 top 10:8 14:23 56:8 topic 60:16 Toronto 2:5 28:20, 22 58:17 67:21 totally 25:23 38:24 39:11 53:11 68:13 79:11 81:22 tracer 21:5 24:9, 22 track 6:15 18:19 tracker 6:13 8:3 tracks 8:3 tragic 58:12 train 48:24 trained 81:11, 23 Training 2:4 74:18 77:12 80:12, 13, 14, 18 transcribed 84:12 transcript 4:2 84:15 transcripts 5:15 49:10 transfer 51:9 76:16 transferred 50:11, 14 51:23 52:11, 21 53:4, 7, 9 transfers 49:21 50:6, 18 transformational 40:4 transitioned 78:23 transmissible 20:7 transmission 13:1, 6, 12 18:15 21:16 32:19 41:8 54:25 63:15 66:8 77:13 transparent 63:9</p>	<p>traumatized 78:14 travel 45:25 75:15 trazodone 55:8 56:21 57:1 treat 39:6 55:11, 21, 22 trend 56:9, 11, 17 57:5 trends 56:7 triage 50:7 76:1 triaged 53:16 73:20 75:23 triangles 14:23, 24, 25 true 13:24 40:11 84:14 trust 72:9 trying 31:20 34:10 40:25 47:8 48:12 65:3 83:11 turned 6:5 twins 67:4 two-bedded 25:9, 15 30:22 types 34:25 typical 76:3 typically 9:18 typifies 58:13</p> <p>< U > unable 79:12 unadjusted 24:11 uncovered 28:21 underpaid 35:17 understand 69:20 understandably 78:15 undertook 40:5 underused 28:8 unequally 22:18 unethical 66:4, 12, 18 unfair 18:3 unfortunately 26:17 63:17 unique 44:13 76:2 unit 12:24 29:17</p>	<p>units 29:11, 12 68:13 unit's 68:12 universal 7:12 University 1:24 2:5 4:12 38:15 unknowingly 6:22 18:24 35:18 unknown 19:13 unlawful 61:10 unnecessary 78:6 unofficially 53:16 unsurprisingly 23:3 updated 35:25 upended 40:3 upgraded 26:3, 4 upper 58:19, 21 uptick 56:18 57:5 upticks 57:1 urgently 28:12 usual 21:22</p> <p>< V > vacant 32:24 72:25 valuable 36:9 variations 49:25 52:18 53:14 vector 19:13 vectors 19:16 46:24 47:16 ventilation 9:20 ventilators 38:20 VERITEXT 84:23 versus 6:18 22:24 23:11, 12, 14, 16, 22 25:21 vicinity 67:11 video-conferencing 73:18 view 31:20 virtual 73:16 75:18, 20 78:25 80:3 virtually 75:16</p>	<p>virus 7:13 19:4 21:8, 10, 13 35:18 visit 67:5 visiting 62:25 63:5 69:10, 17 visitor 18:14, 24 19:10 67:1 visitors 19:4 55:2 62:20 63:14, 19 64:8 visits 58:19 69:22 visualized 44:3 vital 67:7 voices 54:2 vote 39:19 vulnerable 39:22 41:4, 6 65:4, 11, 12, 16</p> <p>< W > wait 11:14 30:13 waiting 3:11 walking 60:2 67:17 walks 67:11 wanted 9:5 20:1 38:5 50:20 54:17 73:22 76:14, 17 83:11 wanting 82:7 Washington 18:22 washrooms 22:5 wave 5:25 8:7 13:2 26:18 27:8 28:20 33:15 40:9, 15, 18 52:23 53:3, 10 77:18 78:22 waves 11:2 50:3 ways 29:17 38:12 39:23 42:4 61:12 64:25 website 4:3 week 19:18 79:21 weeks 27:16 29:16 30:23</p>	<p>33:3, 17 37:10 44:1, 2 well-being 5:12 53:25 68:2 wellness 68:2 Wettlaufer 40:2 wheelchair-bound 60:3 wholly 35:10 widespread 21:16 72:4 window 58:18 59:6 Windsor 28:10 32:8 37:20 Winnipeg 58:11 wintertime 69:23 witnessed 53:13 women 41:20, 21 42:1 52:12 Women's 1:25 2:2 wonder 25:16 wondered 16:25 17:5 won't 64:2 word 29:18 58:13 62:6 wording 31:2 work 5:19 7:16 20:2 34:15 35:20 39:7 40:6 41:20 42:9 43:12 62:12 64:22, 24 70:21 75:1 79:9 80:8, 11 82:12 worked 7:25 17:9 62:9 71:11 74:5, 24 workers 17:3 36:23 43:16 65:23 workforce 41:25 working 43:12, 19 73:9 75:13, 20 world 59:7 62:10 66:7 world-class 13:11 worlds 65:15 worse 15:12 18:8 26:10</p>
--	--	---	---	--

worsening

60:10 78:10

worst 28:19

writing 30:24

wrong 29:14

42:8

wrote 70:19, 20

< Y >

Yeah 4:4 11:16

15:18 27:14, 25

31:17, 24 33:3

34:16 36:25

37:3, 6, 7, 25

38:1 42:22

43:9 60:22

61:14 62:2

year 9:16 15:4

36:2

years 26:3, 5

33:16 35:2

36:3, 4

York 38:20

young 34:1

38:19 83:9

< Z >

Zoom 1:10